

<div> <div> <div>TRAUMA SYSTEM ADVISORY COMMITTEE MEETING</div> <div>BUREAU OF EMS AND PREPAREDNESS</div> <div>March 24, 2014</div> <div>1:28 p.m.</div> </div> <div> <div>Location: Viridian Event Center</div> <div>8030 South 1825 West</div> <div>West Jordan, Utah 84088</div> </div> <div> <div>Reporter: Katie Harmon</div> </div> </div> <div>Page 1</div>	<div> <div> <div>1MARCH 24, 2014</div> <div>2***</div> <div>3DR. COOK: Let's go ahead and get started with</div> <div>4our Trauma System Advisory Committee meeting. Thank you</div> <div>5for being here. Remind you that for those of you who</div> <div>6are involved in our prior meeting that that was not part</div> <div>7of this discussion and this will be our formal TSAC</div> <div>8meeting now.</div> <div>9And Jolene, I think you wanted to mention</div> <div>10something.</div> <div>11MS. WHITNEY: Yeah. I just wanted to mention</div> <div>12that because of this room, we're going to need to</div> <div>13project when you're speaking. And also, remember if you</div> <div>14do not have a name plate and want to speak, ask the</div> <div>15chair for the opportunity to speak so that the recorder</div> <div>16can keep better track of who is speaking and get their</div> <div>17name.</div> <div>18If you do not have a name plate, please state</div> <div>19your name before speaking after you've been recognized</div> <div>20by the chair. So just wanted to remind that.</div> <div>21DR. COOK: Thank you. Do we have minutes from</div> <div>22the last meeting? We had minutes that were sent out</div> <div>23electronically. And I have a personally reviewed them</div> <div>24but do we have --</div> <div>25MS. BARTON: Here is a copy.</div> </div> <div>Page 3</div> </div>
<div> <div> <div>1***</div> <div>2APPEARANCES</div> <div>3A P P E A R A N C E S</div> <div>4Don Van Boerum, M.D.</div> <div>5Craig Cook, M.D.</div> <div>6Mark Dalley</div> <div>7Marc Sanderson</div> <div>8Mark Thompson</div> <div>9Whitney Levano</div> <div>10Karen Glauser, R.N.</div> <div>11Deanna Wolfe, R.N.</div> <div>12Holly Burke, R.N.</div> <div>13Jolene Whitney, M.P.A.</div> <div>14Suzanne Barton</div> <div>15Clay Mann</div> <div>16Sue Day</div> <div>17Robert Jex</div> <div>18Whitney Levano</div> <div>19Kris Hansen</div> <div>20Matthew Christensen, Ph.D.</div> <div>21Peter Taillac, M.D.</div> <div>22Jason Larson, M.D.</div> <div>23</div> <div>24</div> <div>25</div> </div> <div>Page 2</div> </div>	<div> <div> <div>1DR. COOK: Okay. Thank you.</div> <div>2MS. BARTON: I didn't make copies for everyone</div> <div>3since they were all sent out.</div> <div>4DR. COOK: So I have the copy of the minutes</div> <div>5from our last meeting and the minutes were also sent out</div> <div>6electronically. Anyone who would like to review the</div> <div>7hard copy, and if not, if you've reviewed the electronic</div> <div>8copy. Do we have any motion to approve the minutes?</div> <div>9MR. THOMPSON: I make a motion to approve.</div> <div>10MR. DALLEY: Second.</div> <div>11DR. COOK: Thank you. Second. Okay. Our</div> <div>12minutes from our prior meeting are approved without any</div> <div>13objections.</div> <div>14Let's move on to -- we have a lot to discuss</div> <div>15today. We will try to get through it as expeditiously</div> <div>16as possible, let's move on to Item No. 3 which is the</div> <div>17report on audit filters which is follow up from our last</div> <div>18meeting.</div> <div>19MR. CHRISTENSON: Okay. I'm Matthew</div> <div>20Christenson. I'm the Epidemiologist from the Bureau of</div> <div>21Emergency Medial Services and Preparedness. And I want</div> <div>22to start with this slide, which isn't what I originally</div> <div>23planned but in our previous meetings we -- we were</div> <div>24talking about this issue. So I just want to show this</div> <div>25to you briefly to wrap up that idea that we were talking</div> </div> <div>Page 4</div> </div>

<p>1 about.</p> <p>2 So this is our Northern Region in Utah when</p> <p>3 our state is split into seven regions. And we're</p> <p>4 looking at the CDC triage criteria. That are four</p> <p>5 steps. This is the Step No. 1 criteria to take patients</p> <p>6 to the highest level triage center in the region.</p> <p>7 And so what we've got here are -- the green</p> <p>8 circles are the hospitals in the Northern Region. And</p> <p>9 next to each circle is -- are two numbers. The first</p> <p>10 number is the designation, the trauma designation for</p> <p>11 that hospital. The second number is the percentage of</p> <p>12 patients meeting that criteria that went to that</p> <p>13 facility.</p> <p>14 So starting at the top you'll see that we've</p> <p>15 got three hospitals. We have a level four. We have two</p> <p>16 level fours and a level three. And 7 percent,</p> <p>17 0 percent, and 11 percent of those step one triage</p> <p>18 patients went to those three hospitals.</p> <p>19 Is everyone following?</p> <p>20 So what we're doing is we're just mapping this</p> <p>21 first criteria for triage. And the CDC guidelines say</p> <p>22 take these patients to the highest trauma level in the</p> <p>23 region. So those for those first three hospitals, two</p> <p>24 level fours and a level three, 11 percent and 7 percent.</p> <p>25 In 2011, there were 149 patients in the</p> <p style="text-align: right;">Page 5</p>	<p>1 MR. CHRISTENSON: So these are from the field.</p> <p>2 These are first-time admissions from the field.</p> <p>3 DR. TAILLAC: So the destinations from the</p> <p>4 field.</p> <p>5 MR. CHRISTENSON: Yep. Destinations from the</p> <p>6 field. For those patients have met that first step</p> <p>7 criteria.</p> <p>8 MR. MANN: And were they hospitalized? They</p> <p>9 were admitted into the hospital.</p> <p>10 DR. TAILLAC: No. No.</p> <p>11 MR. MANN: Okay.</p> <p>12 DR. TAILLAC: Just --</p> <p>13 MR. CHRISTENSON: This is where they were</p> <p>14 delivered by EMS.</p> <p>15 MR. MANN: Great. Thank you.</p> <p>16 DR. TAILLAC: So whoever knows this, Bob may,</p> <p>17 is there a timeframe and I think -- I thought it was 30</p> <p>18 minutes. That if the patient is within 30 minutes of</p> <p>19 level one or level two, that's where they should</p> <p>20 preferentially go? Isn't that the ACS' general</p> <p>21 guideline.</p> <p>22 And if they are 45 minutes from a level one or</p> <p>23 two, they should preferentially go to the closest</p> <p>24 appropriate facility, is that -- is that right, Dr.</p> <p>25 Cook?</p> <p style="text-align: right;">Page 7</p>
<p>1 Northern Region that met this criteria for the first</p> <p>2 step of CDC criteria. You will see moving down the map</p> <p>3 another hospital, level four, got 6 percent of patients.</p> <p>4 And then we have two level twos; one of them got</p> <p>5 40 percent, another got about 21 percent.</p> <p>6 And then continuing down, we have another</p> <p>7 hospital that was not designated, they got 3 percent.</p> <p>8 And then down at the bottom of the map another hospital</p> <p>9 that is not a designated trauma center and they got</p> <p>10 11 percent of patients. So this just feeds -- kind of</p> <p>11 wraps up the idea that we were talking about previously</p> <p>12 in that we can put this kind of information on a map.</p> <p>13 And this is only looking at the first step and we would</p> <p>14 want to look at the other CDC criteria. But it starts</p> <p>15 to help us understand where these patients are being</p> <p>16 triaged and taken to from the field.</p> <p>17 Yeah.</p> <p>18 MR. MANN: Thanks, Matthew. I was just going</p> <p>19 to ask you two questions. And so that the step one</p> <p>20 guideline is a level one or level two, right? Not the</p> <p>21 nearest.</p> <p>22 DR. TAILLAC: Yeah.</p> <p>23 MR. MANN: And then I just wanted to ask: Are</p> <p>24 these initial admissions, discharges, or just arrivals</p> <p>25 at the ED --</p> <p style="text-align: right;">Page 6</p>	<p>1 DR. COOK: Peter, I don't know that I've seen</p> <p>2 in writing an actual minute or hour number. The ACS --</p> <p>3 and perhaps there will be a different rendition -- but</p> <p>4 they always say the closest -- the closest appropriate</p> <p>5 facility. So if you're, you know, 300 miles away from a</p> <p>6 level one or level two center, then it very well could</p> <p>7 be appropriate for you to go to a level three center</p> <p>8 with the next step being the level one or level two</p> <p>9 center if needs be.</p> <p>10 But I don't think the ACS and -- and Don,</p> <p>11 maybe you could chime in. I don't know think they've</p> <p>12 ever set a time limit.</p> <p>13 DR. VAN BOERUM: I don't think so. The time</p> <p>14 limit they do have is -- and metric that they use is if</p> <p>15 you show up at the level two or level three center or</p> <p>16 the level four center and it's not -- that patient is</p> <p>17 not appropriate for your availability there that the</p> <p>18 decision to be out of there is 30 minutes and they're</p> <p>19 trying to actually push it to 15.</p> <p>20 DR. TAILLAC: For the decision?</p> <p>21 DR. VAN BOERUM: Yeah.</p> <p>22 DR. TAILLAC: And there was a big discussions</p> <p>23 in the rural trauma team development meeting this last</p> <p>24 week about is it 15 or 30 minutes from the time the</p> <p>25 patient arrives or from the time the physician arrives.</p> <p style="text-align: right;">Page 8</p>

<p>1 Because if they're not requiring the physician to be 2 there for, you know, 15 or 30 minutes then how can we 3 say we need to have the patient ready to be out of there 4 before the clinician has arrived? They may be at home 5 or --</p> <p>6 But there is definitely a push to try to 7 contract that time as soon as you know you can't take 8 care of the patient to get them out of there and not do 9 any diagnostic studies, etcetera, etcetera.</p> <p>10 DR. COOK: I think -- I think the time that's 11 in the RTTDC right now is 15 minutes. But again, I 12 don't think they ever define whether that's from when 13 the doc arrives or when the patient arrives.</p> <p>14 DR. VAN BOERUM: Yeah.</p> <p>15 DR. COOK: That's a good point.</p> <p>16 DR. TAILLAC: The reason I ask is as -- 17 whatever, the hospitals and what's the word? Their 18 districts or what's the --</p> <p>19 DR. COOK: Regions.</p> <p>20 DR. TAILLAC: Regions. Thank you.</p> <p>21 Are trying to put together how should this map 22 look. You know, if we, arbitrarily or not, gave them 23 some guidance about if you can get a chopper to the 24 scene and the patient to level one or level two within 25 whatever, 30 minutes, an hour, skip the other hospitals</p> <p style="text-align: right;">Page 9</p>	<p>1 far really are you from the level two center? 2 Deanna.</p> <p>3 MS. WOLFE: And I know that there is national 4 and ACS abides by this, is that you call a helicopter if 5 you're greater than 30 minutes by ground but that's the 6 only time I've seen it.</p> <p>7 DR. TAILLAC: Coming up with something like 8 that would help us and them figure out what makes sense.</p> <p>9 DR. COOK: So Matthew, how -- how easy could 10 you come up with this data for level one patients for 11 the state?</p> <p>12 MR. CHRISTENSON: For all seven regions?</p> <p>13 DR. COOK: For all seven regions.</p> <p>14 MR. CHRISTENSON: I think I've already got 15 most of it together. I just really wasn't planning on 16 presenting and it I just put this one slide in. So I've 17 got the other seven regions mapped just like this.</p> <p>18 DR. COOK: Could you present that at our next 19 meeting and could we see with and without the 30-minute 20 radius or --</p> <p>21 DR. TAILLAC: Because really what you have 22 here is all of the patients in that large graphic area. 23 So again, the fact that 11 -- I'm almost surprised 24 aren't more than 11 although that's less populated up 25 north. You know, because essentially everything up</p> <p style="text-align: right;">Page 11</p>
<p>1 and go to a level one or level two, even if by air. In 2 other words, give them something that they can actually 3 draw circles on map time wise and come up with a plan.</p> <p>4 Because, you know, looking up at the upper 5 Cache County areas, I have feeling that's pretty far 6 from the level twos to drive. So I'm not surprised 7 they're getting a fair amount of patients up there.</p> <p>8 And so if we could redo this with just an 9 arbitrary 30-minute drive time and see if patients 10 within 30 minutes are making it to the level twos that 11 should -- I don't know that would almost be more 12 information to me, I guess. I just don't know if 30 13 minutes is the right number.</p> <p>14 DR. COOK: Peter, that's a great point. When 15 we were trying to wade through this in Utah County, we 16 actually did come up with a -- you know, how many 17 minutes is it to the level two center. And we went back 18 and forth between 20 and 30 minutes but, you know, 30 19 minutes I think is a very reasonable --</p> <p>20 DR. TAILLAC: That's where I got then, from 21 those discussions.</p> <p>22 DR. COOK: Yeah.</p> <p>23 DR. TAILLAC: I had that in my head.</p> <p>24 DR. COOK: Yeah, it was 30 minutes. Yeah.</p> <p>25 No, you go around Utah Lake or whatnot, how</p> <p style="text-align: right;">Page 10</p>	<p>1 north is going to go a small level four. I bet there is 2 drive time issue there.</p> <p>3 DR. COOK: Sure.</p> <p>4 DR. TAILLAC: And the 40 and 21 percent that 5 come down to the center, there is some more densely 6 populated area --</p> <p>7 DR. COOK: Yeah.</p> <p>8 DR. TAILLAC: -- so they are by definition 9 closer. So this doesn't quite get to what we want, I 10 guess.</p> <p>11 DR. COOK: Yeah.</p> <p>12 DR. TAILLAC: Without some sort of time 13 constraint.</p> <p>14 DR. COOK: Correct.</p> <p>15 DR. TAILLAC: That's good information.</p> <p>16 DR. COOK: One thing -- one thing that I could 17 be concerned about and I -- I mean, my first glance at 18 this is that nonlevel center getting 11 percent of those 19 patients, I guarantee you that there is a patient or two 20 in there that probably could have been cared for better 21 had they gone to a --</p> <p>22 DR. TAILLAC: Because is --</p> <p>23 DR. COOK: -- close level --</p> <p>24 DR. TAILLAC: -- not very across that board to 25 the level one.</p> <p style="text-align: right;">Page 12</p>

<p>1 DR. COOK: Yeah.</p> <p>2 DR. TAILLAC: Probably.</p> <p>3 DR. COOK: Yeah.</p> <p>4 MS. WOLFE: It's actually -- I can speak to</p> <p>5 this because the two levels two, the first hospital that</p> <p>6 you see the level four up north with 6 percent, that's</p> <p>7 35 minutes from our door going the speed limit.</p> <p>8 DR. TAILLAC: Really?</p> <p>9 MS. WOLFE: And the 11 percent; zero is 29</p> <p>10 minutes to our door going the speed limit. It's 29</p> <p>11 minutes to St. Marks. It's 29 minutes to the U and</p> <p>12 31 -- or 31 minutes to the U and 29 to Intermountain.</p> <p>13 So I already know this data for the north.</p> <p>14 DR. COOK: Very interesting.</p> <p>15 DR. TAILLAC: Really.</p> <p>16 DR. COOK: Very interesting.</p> <p>17 MR. JEX: They're saying getting them from</p> <p>18 Brigham City to you is 35 minutes?</p> <p>19 MS. WOLFE: Yeah.</p> <p>20 MR. JEX: And 29 minutes from?</p> <p>21 MS. WOLFE: Lake View to Ogden.</p> <p>22 MS. GLAUSIER: By air are you saying?</p> <p>23 MS. WOLFE: No, I'm saying by ground.</p> <p>24 MR. JEX: Oh, from Lake View. I was looking</p> <p>25 at Bear River up there.</p> <p style="text-align: right;">Page 13</p>	<p>1 essentially.</p> <p>2 MR. CHRISTENSON: Yeah. Yeah. We can --</p> <p>3 DR. TAILLAC: So anything picked up within</p> <p>4 that circle --</p> <p>5 MR. CHRISTENSON: Sure.</p> <p>6 DR. TAILLAC: -- might should have gone to the</p> <p>7 trauma center.</p> <p>8 DR. COOK: Yeah.</p> <p>9 DR. VAN BOERUM: But there is also the --</p> <p>10 where -- I mean, where does the patient come from</p> <p>11 because, you know, the 11 percent way up north, that</p> <p>12 could have actually been a hundred percent of patients</p> <p>13 that met the level one guidelines in that area, you</p> <p>14 know.</p> <p>15 DR. TAILLAC: Probably was.</p> <p>16 DR. VAN BOERUM: So you say, well, it's only</p> <p>17 11 percent but it may actually be a hundred percent of</p> <p>18 the number that was in that area.</p> <p>19 DR. COOK: In that area.</p> <p>20 DR. TAILLAC: Yeah.</p> <p>21 MS. LEVANO: And Peter?</p> <p>22 DR. TAILLAC: Yeah.</p> <p>23 MS. LEVANO: Another issue we've come across,</p> <p>24 is that it will be the address that the person lives at</p> <p>25 and not that the address where they were injured or</p> <p style="text-align: right;">Page 15</p>
<p>1 MS. WOLFE: No, Bear River I --</p> <p>2 MR. JEX: It's 45 minutes.</p> <p>3 MS. WOLFE: Yeah, I can't tell you Bear River.</p> <p>4 I can tell you Brigham and Lake View. And is Logan is</p> <p>5 an hour.</p> <p>6 DR. COOK: So do we -- do we have -- are there</p> <p>7 other points that we'd like to have Matthew look at at</p> <p>8 our meeting on this or is that good start?</p> <p>9 DR. TAILLAC: I think what he has here is</p> <p>10 outstanding. And we can talk some more Matthew, but is</p> <p>11 there a way to do a 30-minute drive time ring around</p> <p>12 each facilities?</p> <p>13 MR. CHRISTENSEN: We can -- we can look at it.</p> <p>14 The thing about 30 minutes is each these one of these is</p> <p>15 at a different point because it's in the field. And so</p> <p>16 that pick up starting point isn't going to be at a</p> <p>17 facility.</p> <p>18 DR. COOK: Good point.</p> <p>19 MR. CHRISTENSON: So the idea of the 30</p> <p>20 minutes to that designation, you know, for each patient</p> <p>21 it's going to be a little different depending on where</p> <p>22 they're picked up. And so we can look at it and see</p> <p>23 what we can do.</p> <p>24 DR. TAILLAC: Let's talk after. But we can</p> <p>25 put a 30-minute circle around a trauma center</p> <p style="text-align: right;">Page 14</p>	<p>1 picked up. So they were, you know, snow-mobling or</p> <p>2 off-roading and 30 minutes away is different than where</p> <p>3 their home is 30 minutes away.</p> <p>4 MS. HUNSAKER: Well, but actually in POLARIS,</p> <p>5 we do track the incident location which is where EMS</p> <p>6 picks them up and then we generally have the time that</p> <p>7 they reached their designation facility and so we can</p> <p>8 extrapolate from that how long the transport time was.</p> <p>9 DR. COOK: So again, I'd remind you -- and I</p> <p>10 know both of you, but state your name.</p> <p>11 MS. HUNSAKER: I'm sorry, I'm Shari Hunsaker.</p> <p>12 S-H-A-R-I.</p> <p>13 DR. COOK: She's got it. Just a reminder.</p> <p>14 And I got you, too, Whitney. Thanks.</p> <p>15 MS. LEVANO: Thank you.</p> <p>16 DR. COOK: Okay. Let's --</p> <p>17 MR. CHRISTENSON: Move on.</p> <p>18 DR. COOK: Move on.</p> <p>19 MR. CHRISTENSON: Yes.</p> <p>20 DR. TAILLAC: Do more of this at the next</p> <p>21 meeting.</p> <p>22 DR. COOK: Yes.</p> <p>23 DR. TAILLAC: Each region.</p> <p>24 DR. COOK: Yes. And if we could have this as</p> <p>25 an early point so we don't run out of time that would be</p> <p style="text-align: right;">Page 16</p>

<p>1 great.</p> <p>2 MS. WOLFE: And I would just say one thing,</p> <p>3 Brigham and Ogden Regional have worked out a plan so</p> <p>4 that if there is a stemi patient past a certain address</p> <p>5 where it's equal distance to go, they'll come to the</p> <p>6 chest pain -- to the stemi receiving and -- or the --</p> <p>7 anyway, to the stemi center instead of going backwards</p> <p>8 and then having to do another transport. We actually</p> <p>9 saved a life by having EMS understand those rules and do</p> <p>10 that last month. And he would have -- had pressure of</p> <p>11 60 and he would have died had he gone back and then come</p> <p>12 forward. So we've got some models out there.</p> <p>13 DR. COOK: That's a great point. I think that</p> <p>14 if we can take this several steps down the line within</p> <p>15 our regions and come up with some geographic guidelines,</p> <p>16 it will make it a -- for our trauma patients it will</p> <p>17 make a big difference. That's good.</p> <p>18 MR. CHRISTENSON: Okay. Trauma audit filters.</p> <p>19 You will remember at our last meeting in December we</p> <p>20 reviewed the audit filters. We, at that time, deleted</p> <p>21 two of the 10 and then we split another one of the audit</p> <p>22 filters into two separate ones. And that led to a lot</p> <p>23 of questions and needing more information to be able to</p> <p>24 finalize those two audit filters.</p> <p>25 And so with these -- with this revised list</p> <p style="text-align: right;">Page 17</p>	<p>1 MS. HANSEN: It's through the age 14 so it's</p> <p>2 less than 15, it's 14 or less.</p> <p>3 MR. THOMPSON: Anyone less than 14.</p> <p>4 MS. HANSEN: I don't have any passion about</p> <p>5 that except that if everybody calls a kid a kid by the</p> <p>6 same --</p> <p>7 DR. COOK: We should be consistent and that's</p> <p>8 a good point and we should change it.</p> <p>9 MR. CHRISTENSEN: Less than 15?</p> <p>10 DR. TAILLAC: So less than 15.</p> <p>11 DR. COOK: It's 14 or less, less than 15.</p> <p>12 MS. HANSEN: Yeah, greater -- less than or</p> <p>13 equal to 14 or less than 15. Which I think the State of</p> <p>14 Utah redefined a child.</p> <p>15 MS. WOLFE: And do we have any centers that</p> <p>16 have a pediatric intensive care unit other than Primary</p> <p>17 Children's?</p> <p>18 DR. COOK: No, we don't.</p> <p>19 MS. WOLFE: U doesn't? Intermountain doesn't?</p> <p>20 MR. CHRISTENSON: Should we just change the</p> <p>21 language to Primary Children's?</p> <p>22 MS. WOLFE: No, leave it.</p> <p>23 DR. COOK: Does Dixie Regional have a PTU?</p> <p>24 MS. HANSEN: No, just leave it there as either</p> <p>25 a regional pediatric trauma center or a level one PTU --</p> <p style="text-align: right;">Page 19</p>
<p>1 No. 5 is new. No. 6 is new and No. 9 is also new.</p> <p>2 Starting with No. 5, this is looking at the referring</p> <p>3 facility. So both Audit Filter 5 and 6 are for transfer</p> <p>4 patients.</p> <p>5 And Audit Filter 5 is looking at the time in</p> <p>6 that referral facility from admission to discharge. And</p> <p>7 what we want to do here is make a decision for the state</p> <p>8 what that time should be ideally for the referring</p> <p>9 facility.</p> <p>10 For Audit Filter No. 6, this is looking at</p> <p>11 transport time from the discharge facility to admission</p> <p>12 at the definitive care and what this time should be.</p> <p>13 If you have any questions please just</p> <p>14 interrupt and raise your hand.</p> <p>15 MS. HANSEN: Can I go back? Kris Hansen --</p> <p>16 DR. COOK: State --</p> <p>17 SPEAKER 2: -- from Primary Children's. I</p> <p>18 have a question about No. 10. I'm wondering how you</p> <p>19 came to 13 years old as the definition of a child and</p> <p>20 submit that to be congruent with the ACS recommendations</p> <p>21 that that should be 14 or less. Just to be -- just so</p> <p>22 we all have the same definition what is a pediatric</p> <p>23 patient.</p> <p>24 MS. WOLFE: And that also matches our triage</p> <p>25 and transport guidelines.</p> <p style="text-align: right;">Page 18</p>	<p>1 level one or two trauma center because as we grow there</p> <p>2 may be other pediatric trauma centers and I think level</p> <p>3 one or two to be appropriate.</p> <p>4 DR. COOK: Thank you.</p> <p>5 MS. HUNSAKER: Deanna, didn't you have some</p> <p>6 questions regarding this audit filters earlier? Did she</p> <p>7 leave?</p> <p>8 MS. WOLFE: Yes, but it's not one we're</p> <p>9 talking about right now.</p> <p>10 MS. HUNSAKER: Okay.</p> <p>11 MR. CHRISTENSON: We can make that change for</p> <p>12 No. 10. This slide simply compares Audit Filter 5 and</p> <p>13 Audit Filter 6. And when I was putting this together it</p> <p>14 made the point to me -- and the reason I put this</p> <p>15 together, it wasn't in the handout that was sent out or</p> <p>16 the PDF that was sent out, what it shows, essentially,</p> <p>17 is we're losing a lot more time for our -- these time</p> <p>18 sensitive patients with an ISS greater than 15 in the</p> <p>19 referring to facility as compared to in transportation</p> <p>20 between facilities.</p> <p>21 So these -- both of these pie charts have the</p> <p>22 same time breakouts: One to 30 minutes, 31 to 60</p> <p>23 minutes, 61 to 90, and 91 to 20. So for the No. 5, our</p> <p>24 two-hour window is catching a third of our patients. So</p> <p>25 in other words in 2011, a third of the patients were</p> <p style="text-align: right;">Page 20</p>

<p>1 discharged out of that referral facility in two hours or 2 less. 3 DR. VAN BOERUM: Which is pretty long to start 4 with. 5 DR. COOK: A long time. 6 MR. CHRISTENSON: Yeah, it struck me as long 7 as well. 8 Compared to our transport time, 30 minutes, 60 9 minutes is capturing 62 percent of getting all of our 10 patients to that referral facility. And then if we 11 bounce it up to two hours, we're catching 85 percent of 12 all patients in the state are getting transported in two 13 hours or less. So comparatively, the two-hour window, 14 we're looking at only getting a third of the patients 15 out of that referral facility but yet we're transporting 16 85 percent in that two-hour window. Just for 17 comparison. 18 So this graph just break out -- now looking 19 specifically at Audit Filter No. 5 so that we can make a 20 decision as to what the time point -- the cut point 21 should be. This is the raw number. The previous slide 22 showed the percentage of total and this gives you an 23 idea of where the raw numbers stack out. It's the same 24 time break out, it's one to 30 minutes. So there were 25 only two patients in 2011 that got out of that referral</p> <p style="text-align: right;">Page 21</p>	<p>1 DR. VAN BOERUM: Matt. 2 MR. CHRISTENSON: Yeah. 3 DR. VAN BOERUM: In your audit is there anyway 4 of knowing what the time was for the decision to 5 transport and then how much time actually ran after that 6 decision was made? You know, because there are 7 facilities in the state that are -- you know, if it's 8 someone needs to fly, there is not a helicopter in their 9 location then it takes time to get a facility -- you 10 know, helicopter from, you know, Provo to Vernal or 11 something to get that patient out of there and -- 12 MR. CHRISTENSON: There isn't -- there 13 isn't -- as far as I know, there isn't one for decision, 14 when the decision was made if that's what you're asking. 15 DR. VAN BOERUM: Because really, the -- I mean 16 what -- what we're hoping to gain by this metric is to 17 shorten the time -- the decision time to get the patient 18 out of there. 19 MR. CHRISTENSON: Yeah. 20 DR. VAN BOERUM: Because some patients are 21 going to be sitting in ERs longer just because of their 22 location because you have to get, you know, the 23 helicopter down to them to get them out of there. If we 24 can't -- 25 MR. CHRISTENSON: Is this just for helicopter</p> <p style="text-align: right;">Page 23</p>
<p>1 facility in under 30 minutes. Eighteen got out in 31 to 2 60. The high majority is there at two to three hour 3 window, 82 of the patients in 2011. 4 This is that same pie chart. And so we have a 5 third of the patients getting out of the referral 6 facility in 2 hours. If you add another hour, we're up 7 to about two thirds getting out of the referral 8 facility. And then the orange slice of the pie is four 9 hours. And beyond that is more than four hours. 10 So this is what the time look like. This is 11 the last slide for Audit Filter No. 5 and then we can 12 talk about what the cut point should be. This is broken 13 out by hospital number. So the hospital in the state in 14 2011 with the fastest turnaround time, in terms of 15 referring all their patients, was No. 140 and they were 16 1.4 hours. That was their average time. 17 If you look over at Hospital No. 535, that's 18 three and a half hours. So you can see about two-thirds 19 of our patients -- or excuse me, our hospitals that are 20 referring patients are somewhere between an hour and a 21 half and three hours in terms of getting those patients 22 out the door. 23 And then we've got four hospital that are from 24 about five to nine hours on average and then three 25 hospitals that are over 20 hours.</p> <p style="text-align: right;">Page 22</p>	<p>1 patients though? Would that -- does that also apply to 2 ground ambulance? 3 DR. VAN BOERUM: Well, if it's -- if it's 4 ground ambulance then, you know, once the decision is 5 made they ought to be out of there in 15 minutes. 6 MR. CHRISTENSON: So in terms of helicopter 7 transports, I believe statewide it's around -- and I've 8 got a slide that speak to it -- around 18 percent 9 statewide. So that would apply to 18 percent. 10 DR. VAN BOERUM: You know, because you can't 11 compare, you know, the hospital in Filmore, you know, 12 their time to transfer to, you know, one that's -- you 13 know, like Pioneer Valley here where it's -- you know, 14 they're going to -- they should be out of there quickly. 15 MR. JEX: Doctor, I will just a general 16 observation of that. As I go around to talk to 17 hospitals about designation, the vast majority of them 18 are making their decision in less than one hour. They 19 understand the difference between the door to the 20 decision time and door to transfer time. And where the 21 door to transfer time and door to decision time are 22 significantly different, that's where they focus their 23 efforts. Is to -- what's causing that delay in 24 transport. Do we have to delay for the transportation 25 or is there some other reason.</p> <p style="text-align: right;">Page 24</p>

<p>1 We do, indeed, focus on the door to transfer 2 and door to decision time. But I think if door to 3 decision time is reasonable then we ask them to focus on 4 what's the problem in getting out the door. 5 MR. CHRISTENSON: That's two tissues. 6 DR. TAILLAC: But how do you -- how do you 7 document the door to decision time? Do they have to 8 make a point of doing that. 9 MR. JEX: If they use a trauma -- trauma flow 10 sheet it's on the trauma flow sheet. 11 DR. TAILLAC: Okay. 12 MR. LARSON: So Peter, I work with one of 13 these facilities. I work in Uintah Basin Medical in 14 Roosevelt. We don't have a helicopter out there. We've 15 recently had helicopter service in Vernal. But our 16 point is well made that we focus as a trauma center our 17 PI program is we do track door to decision time. We 18 have to write it down, as Bob said, on the trauma flow 19 sheet. We track that very carefully. 20 We were hundred percent under an hour last 21 time we did that. But our door to transfer time is 22 significantly greater, as you can imagine, we have 23 weather problems, call the doctor problems, even getting 24 your voluntary ambulance services isn't that quick. So 25 it's a lot -- a lot that goes into it. So I agree with</p> <p style="text-align: right;">Page 25</p>	<p>1 facility or how you want to move forward in trying to 2 make a decision for Audit Filter No. 5 what that 3 timeframe should be. 4 DR. COOK: And the real purpose of this filter 5 is for us to be able to track and follow up and follow 6 through on this issue. So it's not -- I don't think 7 that this is a punitive thing, per se, it's for us to be 8 able to gather data and to be able to go to the 9 different facilities and say, hey, you know, we can do 10 better in this area. 11 So the question I have is: Do we keep the 12 filter as is and determine a specific number that we 13 think will get us the data that we want or do we need to 14 tweak the filter some? Do we need to add a door to 15 decision to transfer time as part of our filters? 16 DR. TAILLAC: How about for the designated 17 facilities who are expected to keep the door to decision 18 time we have a separate audit filter for them, 5A, if 19 you want, for the designated facilities look at your 20 decision time, because that's what we care about. And 21 for the others, this is best we can get, probably, door 22 to transport. 23 DR. COOK: That's reasonable and as they 24 become leveled, if they do, then they move over to that 25 5A, if you would, that door to decision.</p> <p style="text-align: right;">Page 27</p>
<p>1 you it's more one issue here. 2 DR. TAILLAC: But you can document those. 3 MR. LARSON: But we do document those. 4 DR. TAILLAC: Only because you're a designated 5 trauma center. 6 MR. LARSON: Well, yeah. And that's part of 7 the process I guess. 8 DR. TAILLAC: I didn't mean it -- 9 MR. LARSON: Yeah. 10 MR. JEX: Stop and consider there are 25 11 hospitals in the state that are either designated or 12 working towards designation. So the outliers are the 13 difference between 43 and 25. 14 DR. TAILLAC: Right. 15 MS. WOLFE: And this slide isn't going to show 16 those that document that they can't get out because of 17 weather or whatever. 18 MR. JEX: But we do ask them to PI. 19 MS. WOLFE: Right. 20 DR. COOK: We're being -- 21 MR. CHRISTENSON: Yep. So asked to -- 22 DR. COOK: Oh, go ahead. 23 MR. CHRISTENSON: Our decision now is then -- 24 this is maybe the best single slide to look at in terms 25 of what out cut point should be for this referral</p> <p style="text-align: right;">Page 26</p>	<p>1 DR. VAN BOERUM: Just an interesting point, if 2 you take this data, you know, from these two pie charts 3 that you showed, you know take average of those, add 4 them together for the transport time and, you know, that 5 time you hit the door at the level four center or 6 whatever, until you're getting to the definitive center, 7 then look at the very first slide you showed. 8 So the decision out in the field to take the 9 patient to the level four center, as opposed to, well, 10 we might have to wait here for 30 minutes to get a 11 helicopter in effect delays the patient getting to the 12 definitive center on average by four hours. 13 So even though you think, well, I'm doing the 14 patient a better service by taking him here, you may not 15 be just 'cause it -- just as an interesting point. 16 DR. TAILLAC: That was helpful, Matthew, 17 putting those two charts together. Thank you. 18 DR. COOK: Very helpful. 19 MR. CHRISTENSON: Good. 20 DR. COOK: Do we have -- I think Peter, I 21 think your proposal is a good one. Does anyone have any 22 angst with that? Having two -- two separate parts to 23 the filter. 24 MR. CHRISTENSON: I have a question. So does 25 that imply that after decision there is nothing we can</p> <p style="text-align: right;">Page 28</p>

<p>1 do with those other factors, those are just factors out 2 of our control or they can't be addressed? 3 MR. LARSON: No. No. I don't think so. 4 MR. CHRISTENSON: Because the implication is 5 if we're looking at that decision being a timeframe, 6 then we want to speed up the decision and after that 7 because this is -- this is broader than just the 8 decision. This is the -- to me -- to me this is the 9 issue, it's admission discharge. And there are many 10 factors: Decision time, helicopter -- you know 11 helicopter transport or other factors. 12 DR. TAILLAC: I think Bill can address this. 13 Feel free. But I look at it two ways. In a designated 14 trauma facility with a good system in place, we expect a 15 decision to object made in a timely fashion. Then 16 ultimately we would like the discharge or transfer to 17 happen in a timely fashion. But bottom line is the 18 hospitals have less control over that portion of it. So 19 if consistently a trauma center has a decision time 20 that's short and long transport time, I think that 21 should be worked on. 22 But, you know, weather, ambulance 23 availability, the volunteer guys are on vacation that 24 week, you know, there is all these individual things 25 make one case long -- that, you know, are out of their</p> <p style="text-align: right;">Page 29</p>	<p>1 measure to include but you're going to collect the 2 decision -- we don't have data point. 3 MS. HUNSAKER: That was going to be my 4 suggestion. Shari Hunsaker. Is that -- do you want to 5 consider adding a data element to the trauma registry 6 for transfer decision time? 7 DR. COOK: I would say absolutely. 8 MR. MANN: Yeah. 9 MS. HUNSAKER: And in our EMS System, we also 10 have a field for reason for transportation delay where 11 the EMS agency can document, you know, there were 12 traffic -- there was a traffic jam, there was bad 13 weather. This is why we were delayed in reaching the 14 scene or in reaching the destination. 15 So do you also want to consider adding that 16 data element as a Utah specific data element in the 17 trauma registry? 18 MS. DAY: Sue Day, Intermountain -- all of 19 the -- 20 THE REPORTER: Excuse me? I'm sorry. 21 DR. COOK: Sue Day. 22 MS. DAY: Sue Day. 23 THE REPORTER: Sorry. 24 MS. DAY: All of the Intermountain facilities 25 have already added over the past two or three years the</p> <p style="text-align: right;">Page 31</p>
<p>1 control. That's the bottom line. But the decision time 2 is within their control. 3 DR. VAN BOERUM: I guess I would just say, I 4 mean, the purpose of a filter is to pick them up so you 5 can then look at them. 6 DR. COOK: Yeah. 7 DR. VAN BOERUM: So if you could see, well, 8 yeah, there was a snowstorm, couldn't get them out, 9 fine, that's done. You did the best you could. 10 DR. TAILLAC: Exactly. Exactly. 11 DR. VAN BOERUM: But if you find, well, it's a 12 long transfer time because they decided they wanted to 13 get a CT scan of everything in the body, which doesn't 14 help the patient at all, that's what you don't -- 15 MR. CHRISTENSON: That is exactly what we're 16 doing with the audit filters. We're casting the best 17 net that we can and a lot are going to fall out once we 18 look closer but that net is hopefully catching a lot 19 that are going to make sense to look at. 20 DR. COOK: So we should continue to track that 21 data for the trauma centers, as well and then we can use 22 that to look at, you know, reasons additionally. 23 Clay. 24 MR. MANN: I was going to mention that I 25 agree. I think the door to decision to is a great</p> <p style="text-align: right;">Page 30</p>	<p>1 decision time to transfer. That was a protocol for us 2 because that was an important goal. So it would just be 3 the other centers, we already have it. 4 MR. JEX: Yeah, you're not -- you're not 5 putting that into the State data base, are you? 6 MS. DAY: What's that? 7 MS. HUNSAKER: No. 8 MR. JEX: That's the term, isn't it? 9 MS. HUNSAKER: So we would have to create the 10 element and I could submit the change request to CDM. 11 And it generally takes 90 days from the date had they 12 receive the request until it's implemented in our 13 system. It would probably be best to wait until our 14 2015 update to include those two new data elements. I 15 will let this body make that decision. 16 Because if you add them right now, you're 17 going to ask facilities to go back and retrofit data 18 that they may not have. 19 MR. LARSON: Is that -- is that -- what reason 20 for just transfer, just a text box of some sort? 21 Because is that going to be helpful for them just to 22 generically general type some -- 23 MS. HUNSAKER: Decision time to transfer will 24 be -- 25 MR. LARSON: A number, right.</p> <p style="text-align: right;">Page 32</p>

<p>1 MS. HUNSAKER: A number.</p> <p>2 MR. LARSON: But I mean, the reason, I'm just</p> <p>3 wondering how helpful that's going to be.</p> <p>4 MS. HUNSAKER: It would -- I would suggest</p> <p>5 that it be a pick list.</p> <p>6 MR. LARSON: Okay. Yeah.</p> <p>7 MS. HUNSAKER: And only to help you throw out</p> <p>8 those outliers like bad weather, you know, to try and</p> <p>9 get a handle on why there was a delay. And it</p> <p>10 doesn't -- it's certainly not required, but if you want</p> <p>11 to look at why we are having extended delays between the</p> <p>12 transfer decision time and the transfer, that would be a</p> <p>13 way to quantify a lot of those.</p> <p>14 MR. LARSON: Yeah, I was just trying to --</p> <p>15 eliminate unnecessary fields if they weren't going to be</p> <p>16 helpful down the road.</p> <p>17 DR. COOK: Sure.</p> <p>18 MS. HUNSAKER: I don't blame you one bit.</p> <p>19 Because as a former data entry person, I don't want any</p> <p>20 unnecessary fields either.</p> <p>21 DR. COOK: So let's make a decision on this</p> <p>22 and move forward. As I hear it, we want to have for the</p> <p>23 trauma centers a door to decision time and we want to</p> <p>24 track that in our trauma registry. And we want to start</p> <p>25 that in 2015 or we do want to start that now and try to</p> <p style="text-align: right;">Page 33</p>	<p>1 Now, that's not even close to what we're</p> <p>2 talking about here and I know that it's totally</p> <p>3 unreasonable in many centers. But I think that we've</p> <p>4 got to -- we've got to, you know, be reasonable in what</p> <p>5 we're asking but something that will also not -- we</p> <p>6 don't want to have so many numbers that we can't</p> <p>7 actually make a difference, you know, falling out but we</p> <p>8 want a reasonable goal so that, you know, the centers</p> <p>9 are really being pushed a little bit to get these</p> <p>10 patients out faster.</p> <p>11 So what's -- what's the -- is it two hours is</p> <p>12 it an hour and a half? What is it?</p> <p>13 MR. LARSON: Craig, are you talking -- are you</p> <p>14 talking again from --</p> <p>15 DR. VAN BOERUM: Out the door.</p> <p>16 DR. COOK: Out the door. Out the door.</p> <p>17 MR. LARSON: Because it's going to vary for</p> <p>18 me.</p> <p>19 DR. COOK: I know. So do you regionalize it</p> <p>20 somehow?</p> <p>21 MR. LARSON: Yeah.</p> <p>22 DR. COOK: It makes it a quandary for the</p> <p>23 state.</p> <p>24 MR. CHRISTENSON: No, we can regionalize it.</p> <p>25 That's -- certainly.</p> <p style="text-align: right;">Page 35</p>
<p>1 retroactively go back? I think that's going to be a</p> <p>2 problem.</p> <p>3 DR. VAN BOERUM: I'd say get it put in and</p> <p>4 then we have, you know -- by the time that gets in then</p> <p>5 you got six months to everyone to kind of learn it.</p> <p>6 DR. COOK: Ramp up and get used to it.</p> <p>7 DR. VAN BOERUM: Data starting 2015 will --</p> <p>8 should be accurate. If you wait until 2015 then --</p> <p>9 DR. COOK: It will be 2016 --</p> <p>10 DR. VAN BOERUM: -- your 2015 data is going to</p> <p>11 all be muddled by some of them were doing it, some of</p> <p>12 them weren't.</p> <p>13 MS. HUNSAKER: So why don't we make it -- I</p> <p>14 can set it up as a warranting edit right now, if they</p> <p>15 put the data -- if they submit a record that doesn't</p> <p>16 have it in there, it just gives them a warning but it's</p> <p>17 not a fatal edit. And then starting in 2015, in January</p> <p>18 of 2015 it become a fatal edit.</p> <p>19 DR. COOK: That's reasonable. That's</p> <p>20 reasonable. Let's do that. And then with regards to</p> <p>21 the time that we want to put in for our audit filter for</p> <p>22 door to transfer time, what time do we want to put in</p> <p>23 there? I will tell you that the ACS and the State as we</p> <p>24 out and we talk to trauma centers, I mean, we're pushing</p> <p>25 hard for an hour turnaround time.</p> <p style="text-align: right;">Page 34</p>	<p>1 DR. COOK: Can you make an urban and a rural</p> <p>2 category? And you give the rural two hours and the</p> <p>3 urban an hour or something like that?</p> <p>4 Sue.</p> <p>5 MS. DAY: Well, and again, this is Sue Day</p> <p>6 from Intermountain -- Intermountain Healthcare. Because</p> <p>7 it was our goal, we actually set it at 90 minutes and</p> <p>8 that -- I think it was three years ago. We've done</p> <p>9 steadily better with this year being our best. If you</p> <p>10 look at our website.</p> <p>11 So actually once the goal is set it takes a</p> <p>12 little while to get there. So, you know, we shoot for</p> <p>13 less than 60 at our place but the overall goal is 90.</p> <p>14 Pay attention to it.</p> <p>15 DR. COOK: What do you think, Don?</p> <p>16 DR. VAN BOERUM: I mean, I wonder if it would</p> <p>17 reasonable to actually look at each facility and give</p> <p>18 them a time? Is that --</p> <p>19 MR. CHRISTENSON: Say that again.</p> <p>20 DR. VAN BOERUM: Wonder if you can set it per</p> <p>21 specific for each facility, you know.</p> <p>22 DR. COOK: A percentage decrease where from</p> <p>23 they are currently or something like that?</p> <p>24 DR. VAN BOERUM: Well, even a time. I mean,</p> <p>25 if you know it's going to take, you know -- you know,</p> <p style="text-align: right;">Page 36</p>

<p>1 it's going 30 -- if you know it's going to take 45 2 minutes to get -- 3 DR. COOK: A helicopter -- 4 DR. VAN BOERUM: -- airport out there then -- 5 DR. COOK: Do you give them say an hour plus 6 whatever their helicopter arrival time is? I mean I 7 don't know I'm trying to -- 8 DR. VAN BOERUM: I don't know. I mean, I 9 guess -- I would ask the -- 10 MR. LARSON: That's the hard one. Because, 11 you know, we can do everything we can to get that 12 decision time made. We scramble to them gone and then 13 we're at the mercy of the transport services. 14 DR. COOK: Sure. Sure. 15 MR. LARSON: And what can we -- I mean, and 16 there are things we do. I mean, frankly, we've looked 17 at this and gone, all right, so what can we do. We go 18 to Life Flight and say what can you do. We go to our 19 EMS providers and say you call them on scene so they're 20 on their way by the time -- you know, those kinds of 21 things. And we've done that. 22 So it's helpful to have this filter say, you 23 know, pick this up so you're thinking about it but at 24 the same time you don't want to unrealistic. 25 DR. COOK: Okay.</p> <p style="text-align: right;">Page 37</p>	<p>1 Because a lot times they do take control -- and I know, 2 I've worked in small hospitals, I will go see another 3 patient and do something else while they're in there 4 doing whatever it is they do prior to transport. But 5 I'm done essentially at that point. I think to me that 6 seems fair the hospital standpoint. 7 DR. COOK: That's very reasonable. 8 Do we have any -- 9 MS. WOLFE: How are you going to track it? 10 DR. COOK: What's that? 11 DR. TAILLAC: How do you even call it -- 12 MS. GLAUSIER: So is that door to -- 13 DR. TAILLAC: That's the discharge time. 14 DR. COOK: That's the official disposition 15 time of the providers that -- at that facility. 16 MR. LARSON: But then you're going to have 17 to -- you are going to have train the facilities because 18 right now our staff is not putting that at the discharge 19 time. 20 DR. COOK: True. 21 MR. LARSON: -- they are putting that -- 22 MS. BURKE: Right. 23 MS. WOLFE: The doctor may go see another 24 patient but the staff is still in there helping the 25 flight crew and so we're still documenting on that</p> <p style="text-align: right;">Page 39</p>
<p>1 MS. GLAUSIER: Coming from rural, what we find 2 is that we call the helicopter, they'll come, the 3 patient is there still at our facility but then they're 4 doing all these other interventions. So then, you know, 5 they're there taking care of them but they're still 6 there. And we can't say they're out of our door until, 7 you know, their flying out the door. 8 MR. JEX: Well, how long does it take the 9 patient to get packaged and before? 10 MS. GLAUSIER: Well -- 11 MR. JEX: -- take them? 12 MR. LARSON: Sometimes they are -- 13 MS. GLAUSIER: Sometimes -- 14 MR. THOMPSON: -- they still redo everything. 15 DR. COOK: Peter. 16 DR. TAILLAC: That brings up kind of a 17 separate question. But first of all, if we want to pick 18 something to start with, if Intermountain, who owns half 19 of the hospitals in the state said 90 minutes, why 20 don't we just pick 90 minutes to start with and then we 21 can sort of -- my vote No. 1. And then see how it goes 22 and there is no penalties involved here, it's just a 23 goal. 24 Two, is it fair for them to count out the door 25 the time the flight team takes control of the patient.</p> <p style="text-align: right;">Page 38</p>	<p>1 patient. 2 MS. BURKE: And has the ERS person really 3 signed off on that patient? 4 MR. LARSON: No. 5 MS. WOLFE: Not until -- 6 MS. BURKE: Because they are still responsible 7 until they leave the hospital. 8 MR. LARSON: I mean, it's gotten to the point 9 where we have gone to these services and said you need 10 to be careful with your times here because you're 11 doing -- your spending far too much time on scene. 12 DR. COOK: And that's the purpose of the 13 filter, really. I mean, perhaps the filter helps us, 14 you know, let's move along everybody. Let's move along, 15 not just, you know, one part of the team, the whole time 16 has to move along. 17 DR. TAILLAC: Well said. 18 MS. GLAUSIER: So I'm -- 19 DR. TAILLAC: Go ahead. 20 MS. GLAUSIER: I'm good to go 90 and try to 21 reach that goal. If that's going to help our patients 22 then let's push for it. 23 Okay. One last comment, Sue. 24 MS. DAY: Sue Day again. We actually used a 25 time on the patient transport form, there is a box on</p> <p style="text-align: right;">Page 40</p>

<p>1 there it that says time of decision made to transfer. 2 So that's where we're going to get that time. So not 3 even starting -- we're able to make a decision and 4 filling that patient transport transfer form out. 5 MS. WOLFE: So on that transform form, too. 6 MS. GLAUSIER: So that's the decision but then 7 to discharge, if like their -- like if Life Flight comes 8 in -- 9 MS. DAY: You want to collect all those times 10 but, you know, I think we need to -- I don't have it 11 right here in front of me, you will have to pull up the 12 website to see whether our time was better. 13 But it was time to decision and transfer and 14 then I think a time of arrival but I will check. 15 DR. COOK: Okay. Oh, please, Mark. 16 MR. SANDERSON: It all depends on the goal, 17 right. If we're looking at out the door then that's the 18 time we ought to track. If we're looking at time of 19 disposition to transport -- because there is two -- they 20 are two separate issues. So what's the most important 21 or are both of they key that we want to track? 22 DR. TAILLAC: I think both of them are very 23 important. 24 DR. VAN BOERUM: So I think -- I guess I would 25 make the motion that we track both and then set a time</p> <p style="text-align: right;">Page 41</p>	<p>1 won't always know what ISS is -- 2 MS. GLAUSIER: Right, exactly. 3 DR. VAN BOERUM: -- until they get to a 4 definitive center and get all the diagnostic studies. 5 DR. COOK: The patients clearly very ill who 6 have sustained major trauma, hopefully you're going to 7 recognize that sooner than later and send those patients 8 to -- 9 MS. WOLFE: But it's only the -- 10 DR. COOK: -- the ones that are quasi or not 11 so sure. 12 MS. WOLFE: Only in ISS and don't wait on 13 those. 14 DR. COOK: So Don, made a motion that we added 15 to a little bit time ways 15 minutes from the time the 16 provider arrives, 90 to -- out the door or -- 17 DR. VAN BOERUM: Yes. 18 DR. COOK: We good with that start? 19 DR. VAN BOERUM: As a starting point. We can 20 adjust that. 21 DR. COOK: Any opposed to that? 22 MS. GLAUSIER: Okay. So 15 minutes to 23 decision and then 90 minutes to out the door? 24 DR. COOK: Yes. 25 MS. GLAUSIER: Is that right?</p> <p style="text-align: right;">Page 43</p>
<p>1 to decision that, you know -- the ACS is 30 minutes. 2 DR. COOK: In the Rural Trauma Team 3 Development Course that we go out and teach, it's 15 4 minutes. Decide in 15 minutes, that's what it is. And 5 maybe we say 15 minutes from the time the provider shows 6 up. 7 MR. LARSON: Yeah, we don't -- we don't often 8 have a physician in the ER in 15 minutes. 9 DR. VAN BOERUM: That was about a 20 minute 10 discussion about that. 11 DR. COOK: So let's say 15 minutes from the 12 time the provider arrives and then 90 minutes to out the 13 door time. 14 MS. GLAUSIER: So I've got another question. 15 On No. 5 it says ISS greater than 15. Well, we transfer 16 a lot of our patients that are like from nine to 15. So 17 does this only go if they're over 15 or we -- is it just 18 if they need to be transferred to a higher level trauma 19 center? 20 DR. COOK: I think we leave it as the ISS 21 greater than 15 just so that we're consistent. I think 22 what we're trying to do is make sure that the patient -- 23 the sickest patients are not sitting in the ED for a 24 long time. 25 DR. VAN BOERUM: And you make -- you know, you</p> <p style="text-align: right;">Page 42</p>	<p>1 DR. COOK: Correct. 2 Clay. 3 MR. MANN: So we need to another data element, 4 as well by the time the physician arrives. 5 DR. VAN BOERUM: Yeah, that's right. We don't 6 have -- what we have is admission to the hospital. We 7 don't have -- 8 DR. TAILLAC: Is it simpler to do the ACS 30 9 minutes since that's sort of their standard. And a 10 hospital who has a doc that takes 20 minutes to arrive 11 needs to push him to arrive in 10 minutes instead of 20. 12 I just -- just -- 13 DR. VAN BOERUM: That's probably -- that's 14 probably simpler and easier. 15 MR. JEX: The probably appropriate because it 16 is 15 minutes for a level one, but it's 30 minutes for a 17 level two. 18 MS. HUNSAKER: Don't we still -- we still are 19 not tracking the MD arrival time in the registry. So 20 what Clay is saying -- 21 MR. JEX: That's not at all. 22 MS. HUNSAKER: -- we'd still need to add that 23 to the registry. 24 DR. COOK: So again, in the Rural Trauma Team 25 Development Course which is the ACS course, they preach</p> <p style="text-align: right;">Page 44</p>

<p>1 15 minutes. They preach call the helicopter before the 2 patient ever shows up. EMS calls and says, we've got 3 this guy with bilateral femur fractures, call the 4 helicopter. You could have a negative decision time. 5 DR. TAILLAC: Got you. 6 MS. WOLFE: We are tracking time the physician 7 is called and the time they arrive, that is in trauma 8 base. 9 MS. GLAUSIER: I don't think it's a stared 10 field but we -- 11 MS. WOLFE: But we have them in that way. 12 DR. VAN BOERUM: Every facility ought to be 13 tracking that. 14 DR. COOK: Any trauma -- level trauma center 15 has to track that. So we should have that. 16 MS. WOLFE: Every hospital in the state has it 17 because it's in trauma base. 18 DR. COOK: Okay. 19 MR. MANN: I don't know if it's in trauma 20 basic though. It's not going to be trauma basic because 21 that has the state level elements that's going to be 22 20-some small hospitals. 23 MS. HUNSAKER: Yeah, it's not a state element. 24 So it's not in the dictionary. 25 DR. VAN BOERUM: Can we make it a state</p> <p style="text-align: right;">Page 45</p>	<p>1 DR. COOK: Just say 30 minutes? 2 DR. VAN BOERUM: Yeah. 3 MR. CHRISTENSON: And it does cast a net -- 4 maybe it's, you know, we're catching a lot of patients 5 there that would fall out but right now it's doable. 6 DR. VAN BOERUM: Yeah. 7 MR. CHRISTENSON: If they do -- 8 DR. COOK: So the motion is 30 and 90. 9 DR. VAN BOERUM: You know, that -- if you I 10 think about it, that 30 minutes -- 11 DR. COOK: It's reasonable. 12 DR. VAN BOERUM: -- that might be 13 establishing, you know, some decent IV access. 14 DR. COOK: Sure. 15 DR. VAN BOERUM: They may be putting in a 16 chest tube, they may be intubating a patient. I mean, 17 there is a lot of stuff that you can -- that's -- that's 18 30 minutes would be really quick. 19 DR. COOK: What I would say though, and I 20 don't want to digress, but as soon as you think you need 21 intubation the patient, that's your decision right 22 there. 23 DR. VAN BOERUM: That's true. 24 DR. COOK: They're not staying at your 25 hospital.</p> <p style="text-align: right;">Page 47</p>
<p>1 element? 2 DR. COOK: Are we good? Are you clear as mud? 3 MS. WHITNEY: Well, we need a second to the 4 motion and -- 5 DR. COOK: Oh, yes, we do. 6 MS. WHITNEY: And then a vote. 7 DR. COOK: 15 and 90. 8 DR. TAILLAC: Restate the motion. 9 DR. VAN BOERUM: 30 or 90 -- 30 and 90. 10 MS. GLAUSIER: Let's do 30 and 90. 11 DR. COOK: I have angst again 30 because it's 12 not what the ACS has in their Rural Trauma Team 13 Development Course. So it's a separate -- 14 DR. VAN BOERUM: Well, they need to change 15 that actually. 16 DR. COOK: Well, if they do, that's great. 17 Don -- Don has just been to the meeting. 18 DR. VAN BOERUM: Because of the -- that 19 conflict. 20 DR. COOK: 30 minutes, that would be great. 21 DR. TAILLAC: 30 minutes is easier with the 22 existing data could our start point is the admission 23 time. We have that no question. 24 DR. COOK: Okay. 25 DR. TAILLAC: For all the hospitals.</p> <p style="text-align: right;">Page 46</p>	<p>1 MR. LARSON: That's exactly -- 2 DR. COOK: Send for the helicopter. 3 MR. LARSON: We're sending this patient and 4 that's all we say, is track -- is track that number. 5 DR. VAN BOERUM: Good point. 6 MS. WOLFE: The reality in practice is most of 7 them don't make a decision to transfer until they got 8 their scans and they -- 9 MS. GLAUSIER: That's just if it's in the 10 lower -- 11 MR. MANN: And what's we're trying to change. 12 MR. LARSON: That's what trying to change. 13 MS. WOLFE: I know. That's what we're 14 finding. 15 DR. COOK: But I will say, you know, from a 16 perspective working with a lot of these Intermountain 17 facilities in rural areas, there has been a lot of 18 change based on that one goal. So I think we can make a 19 lot of difference here. 20 MS. WOLFE: Well, we need do. 21 DR. COOK: Yeah, we have to. 22 Do we have a second for Don't amended motion? 23 I think we're going to 30 and 90. 24 MR. LARSON: I second that. 25 DR. COOK: Okay. Second. Okay. Let's move</p> <p style="text-align: right;">Page 48</p>

<p>1 forward.</p> <p>2 MR. CHRISTENSON: So we will have a 5A and 5B</p> <p>3 and we will recalculate --</p> <p>4 MS. WHITNEY: Craig, just hold on.</p> <p>5 Sorry. We have a motion that I think you need</p> <p>6 to vote on.</p> <p>7 DR. COOK: Do we -- all in favor? Opposed?</p> <p>8 It's unanimous. Let's move on.</p> <p>9 MR. CHRISTENSON: Okay. We will have a 5A and</p> <p>10 5B and we will present those at our next meeting or</p> <p>11 include that with your existing.</p> <p>12 I will go through quickly because there is a</p> <p>13 lot to filter on Audit 5 and I want to just call this to</p> <p>14 your attention because it's a issue in the trauma</p> <p>15 registry. There were 330 patients in 2011 that met this</p> <p>16 criteria for Audit Filter No. 5. They had an ISS score</p> <p>17 of greater than 15 and they were transferred. That was</p> <p>18 330 patients. Only 235 patients qualified. So that's</p> <p>19 what we've been looking at in these graphs, 235.</p> <p>20 Because 95 didn't have complete time data so we can't</p> <p>21 look at their time.</p> <p>22 The graph on the right shows all hospitals</p> <p>23 that report into the registry. The blue slice of the</p> <p>24 pie shows 18 hospitals reported times and dates on all</p> <p>25 of their trauma base patients. The red slice of the pie</p> <p style="text-align: right;">Page 49</p>	<p>1 be fixed and then resubmitted. Never should it be</p> <p>2 allowed to be accepted with all of this stuff that's</p> <p>3 missing.</p> <p>4 DR. COOK: It would be an easy fix it's a</p> <p>5 required field and it's not done until it's --</p> <p>6 MS. HUNSAKER: Easy fix.</p> <p>7 DR. COOK: -- done.</p> <p>8 MS. WOLFE: It's a failing filter and I</p> <p>9 don't -- I mean, I said this Karen in the other meeting</p> <p>10 how can this data get to the hospital without a</p> <p>11 discharge date and time because it is a failed edit. It</p> <p>12 won't download to the state. So how are you getting</p> <p>13 those records?</p> <p>14 MR. CHRISTENSON: The data from the</p> <p>15 registry -- this is what's in the registry, that's all</p> <p>16 I'm presenting to you. I don't -- I can't explain how</p> <p>17 it got here. I'm telling you what's in the registry.</p> <p>18 And I looked at it very carefully. This is -- it was</p> <p>19 shocking to me that there was no admission date on 18</p> <p>20 hospitals -- on 16 there was a very clear pattern.</p> <p>21 MS. HANSEN: This is Kris Hansen from Primary</p> <p>22 Children's. We found in your own institution trying to</p> <p>23 download -- or downloading patients to the state that we</p> <p>24 were passing the criteria when we should not because in</p> <p>25 this specific field sometimes we'll put unknown or not</p> <p style="text-align: right;">Page 51</p>
<p>1 shows 16 hospitals reported missing times and dates on</p> <p>2 all of their trauma patients.</p> <p>3 The green slide shows five reported on</p> <p>4 complete time on some of their patients and then the</p> <p>5 purple slice is five hospitals that didn't meet any</p> <p>6 of -- didn't meet this criteria.</p> <p>7 In addition, more than 95 percent of the data</p> <p>8 were at discharge. So you could look down the column</p> <p>9 and see that admission for these 16 hospitals was there</p> <p>10 but discharge was missing. So the pattern is so clear.</p> <p>11 I think this something we can affect.</p> <p>12 And so my thought in bringing this to you was,</p> <p>13 is this also something we want to look at with Audit</p> <p>14 Filter 5 and even Audit Filter No. 6 that the time data</p> <p>15 should just be reported. There is really no reason why</p> <p>16 it shouldn't be.</p> <p>17 MS. HUNSAKER: I don't think it needs to be</p> <p>18 audit filter, I think we just need to make it a fatal</p> <p>19 edit on trauma base so that the record can't be uploaded</p> <p>20 to the trauma registry unless the discharge time is</p> <p>21 there.</p> <p>22 DR. COOK: Sue.</p> <p>23 MS. DAY: I think this points out, and it's</p> <p>24 probably recognized over the years, and it's education.</p> <p>25 All this missing stuff, you go back to that facility to</p> <p style="text-align: right;">Page 50</p>	<p>1 rather than a number. We were using it, erroneously, as</p> <p>2 a place holder to remind the staff to go back and fill</p> <p>3 that in, which we should never have done. It was an</p> <p>4 error in logic. But they were doing it that way and in</p> <p>5 an effort to get to the next patient, work on that</p> <p>6 patient, and then they'd forget to go back and fill it</p> <p>7 in.</p> <p>8 But my guess is if we're doing it at our</p> <p>9 place, probably other people are doing something</p> <p>10 similar.</p> <p>11 MS. DAY: So that's all --</p> <p>12 MS. HANSEN: If you put something in the field</p> <p>13 it will go --</p> <p>14 MS. HUNSAKER: Well, I can make it -- I can</p> <p>15 make it not nullable.</p> <p>16 MS. HANSEN: Right.</p> <p>17 MS. HUNSAKER: So it will not accept unknown</p> <p>18 or not.</p> <p>19 MS. HANSEN: And that's what we're doing on</p> <p>20 our site but --</p> <p>21 DR. COOK: It should be number. It has to be</p> <p>22 a number.</p> <p>23 MS. WOLFE: It make a motion that we make it a</p> <p>24 failed edit through Shari and then this problem goes</p> <p>25 away.</p> <p style="text-align: right;">Page 52</p>

<p>1 DR. COOK: I second it. All in favor?</p> <p>2 MS. HUNSAKER: One comment: Do you want it to</p> <p>3 be not nullable?</p> <p>4 DR. COOK: What do you mean?</p> <p>5 MS. HUNSAKER: So it won't accept an empty or</p> <p>6 an unknown value.</p> <p>7 DR. COOK: Correct. Yeah, that's the --</p> <p>8 MS. HUNSAKER: Okay.</p> <p>9 DR. COOK: That's the motion. That's the --</p> <p>10 has to be a number.</p> <p>11 MS. WOLFE: Has to be passible. It has to,</p> <p>12 you know, --</p> <p>13 DR. TAILLAC: A number greater than zero.</p> <p>14 MS. GLAUSIER: Has to be right on format date.</p> <p>15 It has to be date date, slash, blah, blah.</p> <p>16 MS. HUNSAKER: It will. Yeah. It will.</p> <p>17 DR. COOK: Any opposed to that? Okay.</p> <p>18 MS. WHITNEY: Clay, did you have a comment?</p> <p>19 MR. MANN: No. I think I was just going to</p> <p>20 say, we need to make sure that audit filters that are in</p> <p>21 trauma base are in trauma basic.</p> <p>22 MS. HUNSAKER: They will be.</p> <p>23 MR. MANN: I don't they currently or in the</p> <p>24 past have been.</p> <p>25 DR. COOK: Okay. Let's move on.</p> <p style="text-align: right;">Page 53</p>	<p>1 percent of all transfer patients make it to their</p> <p>2 designation facility in an hour or less.</p> <p>3 Utah County was right there, to, at 80 percent</p> <p>4 making it within an hour. The Northern Region</p> <p>5 64 percent. But then you see the other four regions of</p> <p>6 the state, Tricounty, Central, Southeast, and Southwest,</p> <p>7 quite a bit lower, not really getting the majority of</p> <p>8 their patients there within a hour and especially the</p> <p>9 southwest.</p> <p>10 And so when I first saw the Southwest it kind</p> <p>11 of spurred me to try and understand what's going on here</p> <p>12 a little bit. This is the two-hour window now so</p> <p>13 bumping up the time -- Peter?</p> <p>14 DR. TAILLAC: I'm sorry, but real quick. That</p> <p>15 81 and the one was that 81 or more or is it really 81?</p> <p>16 MS. HUNSAKER: Eighty-one percent.</p> <p>17 MR. CHRISTENSON: So of all transfer --</p> <p>18 DR. TAILLAC: Yeah.</p> <p>19 MR. CHRISTENSON: -- trauma patients</p> <p>20 transferred from hospitals inside the SST region, they</p> <p>21 made it to their designation facility within an hour,</p> <p>22 81 percent of all transfer patients.</p> <p>23 DR. TAILLAC: So it's exactly 81 percent.</p> <p>24 MR. CHRISTENSON: It's exactly 81 percent.</p> <p>25 DR. COOK: I'm just surprised it that's low</p> <p style="text-align: right;">Page 55</p>
<p>1 MR. CHRISTENSON: I will brush right through</p> <p>2 this. We have the same issue with Audit Filter No. 6,</p> <p>3 almost the same percentage of missing data. So we want</p> <p>4 to look at the list the way -- I mean, probably do the</p> <p>5 same thing in our --</p> <p>6 DR. COOK: Applied --</p> <p>7 MR. CHRISTENSON: So that we get time data for</p> <p>8 this. So all of the stuff that we're going to look at</p> <p>9 now is based on these 1,484 complete reports with time</p> <p>10 data or 69 percent. Now, we're looking at transport</p> <p>11 time statewide. We have our same time cut points, one</p> <p>12 to 30 minutes, 31 to 60 minutes. So within that first</p> <p>13 hour, 62 percent of patients in 2011 were transported</p> <p>14 from that discharge time to admission time from the</p> <p>15 referral facility to definitive care.</p> <p>16 If we bump out to two hours then we have our</p> <p>17 85 percent window. And this is that graph which we have</p> <p>18 already looked at, this, however, is strongly effected</p> <p>19 by where you're at in the state. So once we look</p> <p>20 regionally transport times, which is what we're talking</p> <p>21 about a little bit earlier, things get complicated</p> <p>22 really quick.</p> <p>23 So statewide, the one hour cut point is 62</p> <p>24 percent in terms of transport. Within the SST region,</p> <p>25 Salt Lake and Summit and Tooele though, in 2011 81,</p> <p style="text-align: right;">Page 54</p>	<p>1 frankly.</p> <p>2 MR. CHRISTENSON: Well, yeah, it's SST. But</p> <p>3 we do have Tooele and Summit.</p> <p>4 DR. VAN BOERUM: It's a window.</p> <p>5 DR. TAILLAC: There is a window, that's true.</p> <p>6 MR. CHRISTENSON: Bumping it up to two hours</p> <p>7 are the northern SST Utah Region almost a</p> <p>8 hundred percent, making it within that 2-hour window.</p> <p>9 DR. TAILLAC: Okay.</p> <p>10 MR. CHRISTENSON: 97, 98. And then the reset</p> <p>11 of the state also increases, Central 74 percent.</p> <p>12 Southwest was still quite a bit lower, which led me to</p> <p>13 create this graph trying to understand where each of the</p> <p>14 regions are sending their patients. And I will go</p> <p>15 through quickly.</p> <p>16 This is 2011 data still. And at the bottom,</p> <p>17 XX, is we have our seven regions along with the number</p> <p>18 of patients that were transferred.</p> <p>19 So for the Northern Region there were 368</p> <p>20 transfer patients in 2011, 25 percent of them went to</p> <p>21 the U. About 40, 50 percent went to Primary's. Ten</p> <p>22 percent IMC. Fifteen percent to McKay Dee Hospital and</p> <p>23 about 10 percent to Ogden Regional. So that's the</p> <p>24 pattern there.</p> <p>25 Do we have time to go through this? This is</p> <p style="text-align: right;">Page 56</p>

<p>1 an interesting slide but I don't know what our timeframe 2 is.</p> <p>3 DR. COOK: I think we should.</p> <p>4 MR. CHRISTENSON: It's a good slide. I go 5 through it quickly. SST, there were 829 transfer 6 patients, 30 percent of them went to the U, 20 percent 7 went to Primary and about 45 percent went to IMC. So 8 all of SST transfer patient stayed inside the SST 9 regions, which makes sense.</p> <p>10 Utah Wasatch, there were 294 discharged from 11 those hospitals in that region. Ten percent went to the 12 30 to U. Thirty to Primary's. Ten to Primary 13 Children's and about 45 percent Utah Valley Regional 14 Medical Center.</p> <p>15 Tricounty, there were only 83 that came out of 16 Tricounty, those two hospitals. Seventy of them -- 17 65 percent went to the University. And then you can see 18 Primary Children's at 10 and IMC at 10.</p> <p>19 Looking at the Central District there were 305 20 patients transferred from those hospitals in the Central 21 District. About 70 percent went to Utah Valley Regional 22 Medical Center which explained part of this. So here is 23 the two-hour window, we have 74 percent of those 24 patients in Central making it in that 2-hour window 25 because the far majority are going up to Utah Valley</p> <p style="text-align: right;">Page 57</p>	<p>1 And a lot those patients ultimately they 2 probably will keep as they become a level two center and 3 have more neurosurgical capabilities as part of that.</p> <p>4 DR. TAILLAC: I can see that.</p> <p>5 DR. VAN BOERUM: Also that's 2011 data, I bet 6 if you did it now they're sending a lot more of their 7 patients to Utah Valley.</p> <p>8 DR. COOK: It's interesting --</p> <p>9 MR. CHRISTENSON: Oh, really. You think their 10 destination has changed recently?</p> <p>11 DR. VAN BOERUM: Uh-huh.</p> <p>12 DR. COOK: There is definitely some change. 13 Definitely some change. But I think a lot of it really 14 does come down to those patients are at a quasi level 15 two trauma center right now and they are getting all of 16 their scans and they are trying to figure out, can we 17 take care of this patient or not. When they finally 18 decide that we can't and then they send them. And I 19 think that it's growing pain issue.</p> <p>20 MS. HUNSAKER: But isn't this on transport 21 time? This isn't on how long their staying at the 22 hospital, isn't --</p> <p>23 DR. COOK: No, that is true.</p> <p>24 MS. HUNSAKER: -- this audit filter on 25 transport time, Matthew?</p> <p style="text-align: right;">Page 59</p>
<p>1 Regional.</p> <p>2 Southeast, we have 103 patients. Thirty 3 percent went to the U and about 45 percent went to Utah 4 Valley Regional.</p> <p>5 And then the Southwest Region, which was what 6 got me started on this, trying to understand what was 7 happening down in the Southwest Corner because they are 8 lower than everyone else by quite a bit. About 9 15 percent are going to the U out of the Southwest. Ten 10 percent to Primary. And about 40, almost 50 percent 11 going to Intermountain Medical.</p> <p>12 So looking at the map, we've got our Southwest 13 Region down here, patients are going up to Salt Lake at 14 IMC, about half of those patients. Central District is 15 going to Utah Valley Regional. So that explains at 16 least part of what's going on here, the designation of 17 why that Southwest Region is a little bit slower in 18 terms of getting to --</p> <p>19 DR. COOK: I think another big part of that is 20 Dixie regional, really, is on course hopefully to become 21 a level two center at some point and they probably for 22 better or for worse right now they hold on to patients 23 longer than a lot these other lower level trauma centers 24 based on the fact that they're not sure whether or not 25 they're going to keep that patient or not.</p> <p style="text-align: right;">Page 58</p>	<p>1 DR. COOK: No, that's is true. It's a good 2 point. It's a good point.</p> <p>3 MR. CHRISTENSON: Which one? This is not --</p> <p>4 MS. HUNSAKER: No. 6.</p> <p>5 DR. COOK: This is simply transport times.</p> <p>6 MR. CHRISTENSON: These are destinations, 7 these are times.</p> <p>8 DR. COOK: Yeah. But it's time of transport.</p> <p>9 MS. HUNSAKER: Transport times. Okay.</p> <p>10 MR. CHRISTENSON: These are transport times. 11 This is Audit Filter No. 6.</p> <p>12 MS. HUNSAKER: Okay. Okay.</p> <p>13 DR. TAILLAC: So asking you on this one, in 14 the Southeast -- can you click back?</p> <p>15 MR. CHRISTENSON: Yeah. Yeah.</p> <p>16 DR. TAILLAC: The Southeast, did you take into 17 account -- take into account a lot of those go to the 18 same areas -- out of state, would you -- would those be 19 on your radar?</p> <p>20 MR. CHRISTENSON: Yeah. No, we don't get 21 those. So if they go out of state they're falling off 22 the registry. Their destination is not --</p> <p>23 DR. TAILLAC: So that's going to skew your 24 data because a lot of short transport times will be to 25 Saint Mary's I think. Right?</p> <p style="text-align: right;">Page 60</p>

<p>1 MR. CHRISTENSON: Yeah. And I wondered that 2 about St. George, too, if that might be part of -- if 3 some of those patients -- 4 DR. TAILLAC: Dixie goes to Vegas. 5 MR. CHRISTENSON: Right. 6 MS. HUNSAKER: They're sending them down to 7 Vegas. 8 MR. CHRISTENSON: Right. Right. 9 DR. TAILLAC: So that's going to skew those 10 numbers then. So you're only going to see the one that 11 didn't have a short transport time. 12 MR. CHRISTENSON: Yeah. 13 DR. TAILLAC: For some reason they came north 14 instead of south. 15 MR. CHRISTENSON: Yeah. 16 DR. TAILLAC: That's great numbers though. 17 MR. CHRISTENSON: The last part is just out of 18 state where those patients go to and just either about 19 75 or 65 percent are going to the University. So there 20 is really four destinations where transfer patients go 21 primarily. The University is getting in 2011 a little 22 over 30 percent of all transfer patients. 23 Primary Children about 22. IMC about 20 and 24 Utah Valley about 16 percent. You add those up, that's 25 over 90 percent. And so those are really the</p> <p style="text-align: right;">Page 61</p>	<p>1 different now. 2 MR. CHRISTENSON: Within the last couple of 3 years. 4 DR. COOK: Within the last year. 5 DR. VAN BOERUM: Even with the helicopter, 6 they won't fly from St. George to IMC. They may fly to 7 you guys. 8 DR. COOK: I think they will now. 9 DR. VAN BOERUM: It's a fixed wing to get to 10 Salt Lake. It's fixed wing to Salt Lake. 11 DR. TAILLAC: So would you have -- do you know 12 if it's fixed wing or only helicopter in this graph or 13 is this just -- 14 MR. CHRISTENSON: I would have to go in -- I 15 don't know if it distinguishes between fixed wing and 16 helicopter. 17 DR. VAN BOERUM: It does. 18 DR. TAILLAC: It does. 19 MR. CHRISTENSON: So it does it out by -- 20 DR. TAILLAC: Okay. 21 MS. HUNSAKER: And now that you have 2012 data 22 set, could you rerun the numbers for 2012? 23 DR. COOK: I don't think it will change a 24 whole lot until 2013 because I think St. George had 25 their helicopter base start around --</p> <p style="text-align: right;">Page 63</p>
<p>1 destination hospitals for trauma care. That's where 2 they're being taken to by enlarge, it's those four. 3 This is our transport mode. So earlier we 4 were talking been helicopter transports by region. The 5 highest is Tricounty, so 45 percent of all those 6 transports were by helicopter coming out of Tricounty in 7 2011. The second lowest, interestingly enough, which 8 helped us explain the slower times, I guess, is 9 southwest, only percent 12 transports were for 10 helicopter. 11 Only -- only SST Region had fewer helicopter 12 transports. 13 Craig? 14 DR. COOK: I guess, I just don't understand 15 that. Is that fixed wing -- 16 DR. VAN BOERUM: They can't. That's too far 17 for the helicopter so it's fixed rate. 18 MR. CHRISTENSON: That's what I was wondering. 19 Is it? 20 DR. COOK: Well, for 2011 data that's correct. 21 Things have changed now. They have a helicopter there. 22 MR. CHRISTENSON: Where? 23 DR. COOK: In St. George. 24 MR. CHRISTENSON: Okay. 25 DR. COOK: So this data is could be going very</p> <p style="text-align: right;">Page 62</p>	<p>1 DR. TAILLAC: 2012. 2 DR. COOK: End of 2012; start of 2013. 3 DR. TAILLAC: But I think you should include 4 helicopter and fixed wing in this graph. Would you 5 agree? Just -- 6 DR. COOK: Yes. 7 DR. TAILLAC: -- air transport. 8 DR. COOK: Absolutely. 9 MR. CHRISTENSON: Because fixed wing is going 10 to be so much faster? 11 DR. TAILLAC: No, it's the same. Just it's 12 either ground or air. That's all we care about. 13 MR. CHRISTENSON: Well, I know but that's what 14 this is. This is just air. So but -- is there a 15 difference between -- do we expect one to be faster or 16 slower? 17 DR. VAN BOERUM: Fixed wing is slow. 18 DR. TAILLAC: Is just air -- 19 DR. COOK: It's a lot slower. 20 DR. TAILLAC: This is air -- 21 (Multiple speakers at once.) 22 MR. CHRISTENSON: This is -- is it -- 23 MS. HUNSAKER: So even though -- 24 THE REPORTER: Excuse me. I'm sorry, it's 25 when --</p> <p style="text-align: right;">Page 64</p>

<p>1 DR. COOK: Okay. One person at a time. 2 DR. VAN BOERUM: Aren't you getting that down? 3 This says helicopter, Matt, does it mean air? 4 MR. CHRISTENSON: Oh, I'm sorry. It's all 5 air. It's non-ground transport. Sorry. Yep, it is. 6 It includes -- 7 DR. COOK: Includes the -- 8 MR. CHRISTENSON: Fixed wing and helicopter. 9 My error, yeah. So it's just title change on that. 10 DR. COOK: Okay. More slides on Filter 6 or 11 is that -- 12 MR. CHRISTENSON: There is a couple more and 13 then we're done. 14 DR. COOK: Okay. 15 MR. CHRISTENSON: You also want to look at 16 ISS, how the transport times differ by ISS and injury 17 severity. And so looking at the 25 to 75 scores for 18 ISS. It is if we expect more patients are getting 19 transport -- transported in that 30-minute window as 20 evidenced by the blue part of the graph. 21 So looking at the far right bar, which is 22 patients 25 to 75, 30 percent of them are making it 23 within 30 minutes. If you bump up to an hour you're up 24 at about 75 percent of those most seriously injured 25 patients. So this confirms what we would want to see in</p> <p style="text-align: right;">Page 65</p>	<p>1 DR. COOK: But if you're looking at that for 2 the southernmost regions, it's -- it's just -- there is 3 just too many variables, it's impossible. 4 MR. LARSON: Yeah, but isn't there something 5 that we can do? I mean, I feel like we can't just 6 totally put it -- push it aside. Even if it is sort of 7 out of the site of the hospital facility, we still can 8 push to have something done with it, transport 9 mechanism, whatever. I just feel like there is more to 10 be done. 11 DR. TAILLAC: This could help to determine 12 future helicopter, you know -- 13 DR. COOK: Locations. 14 DR. TAILLAC: -- zones or bases. 15 MR. LARSON: Exactly. Something along those 16 lines. 17 DR. TAILLAC: Scary thought but it could. 18 Only because that's very controversial. 19 But no, it's good data, it's I don't feel like 20 we don't much control over the data. I don't know. Any 21 other thoughts? 22 MR. CHRISTENSON: What is your suggestion, 23 doctor? 24 MR. LARSON: I'm saying, well, I mean if -- as 25 we all know in trauma time is of essence. Do we need to</p> <p style="text-align: right;">Page 67</p>
<p>1 terms of the higher ISS scores of getting there quicker, 2 at least from the transport perspective. 3 This is just at the raw numbers. I think we 4 can move on and go to trying to make a decision as to 5 what this should be for Audit Filter No. 6. 6 DR. COOK: So we're trying to decide on an 7 actual time that we expect for transport -- 8 DR. TAILLAC: Yeah. 9 DR. COOK: -- from hospital to hospital. 10 Thoughts? It's so variable based on where 11 your hospital is. It's a hard -- 12 DR. TAILLAC: Yeah. And again, the south part 13 is skewed because you don't have the out of state 14 destinations, unfortunately. I love the data, I really 15 do. I just -- I don't feel like -- I don't want say I 16 don't care how long it takes, but it's so much out of 17 the control of the facilities that -- do we really want 18 to study it, I guess -- 19 DR. COOK: It seems -- 20 DR. TAILLAC: -- except to know. 21 DR. COOK: Yeah, I mean, it seems like if you 22 take the two southernmost regions out that clearly if 23 it's over two hours then you ought to look at it. I 24 mean -- 25 DR. TAILLAC: Yeah.</p> <p style="text-align: right;">Page 66</p>	<p>1 start putting someone from the helicopter services on 2 this committee? I mean, something like that. I 3 don't -- I don't know. It's this just -- something to 4 throw out to help so we have some control. I don't 5 know, maybe they'd say the same thing. We have no 6 control, it's just geography. 7 DR. TAILLAC: It's a great idea actually. 8 MR. LARSON: But, you know, we're throwing 9 rehabilitation, and, you know, those kind of things 10 as -- stuff on those lines, but it seems like we have 11 some way to influence these transport times. I don't 12 know. I'm just throwing it out. 13 DR. TAILLAC: I like your suggestion about a 14 helicopter representative. I never thought of that. 15 DR. COOK: It's a super good suggestion. 16 MS. WHITNEY: I don't know -- 17 MR. LARSON: I guess the only problem with 18 that is -- 19 DR. TAILLAC: Which one? 20 MR. LARSON: Which one. Because they -- 21 DR. TAILLAC: That's exactly right. It's 22 tough. We could alternate. Yeah. 23 MS. WHITNEY: Well, that actually depends on 24 the make up the Trauma System Advisory Committee because 25 I can only have -- we have only three representatives</p> <p style="text-align: right;">Page 68</p>

1 from one particular healthcare organization. See what
2 I'm saying?

3 DR. TAILLAC: Yeah.

4 MS. WOLFE: Bob -- Bob has brought the
5 awareness to all of these centers that have become
6 leveled in our state. He's brought the awareness and I
7 think that's why our numbers are improving. They're not
8 where they need to be but I think the awareness that you
9 bring to getting them out and getting to next hospital
10 quickly is being done. I don't think we can abandon it
11 because we'll just slide back to where we were and I
12 think we still keep pushing for the decision to transfer
13 and then getting them out of there.

14 And if we want to say 90 minutes sobeit, and
15 let's -- when we reach 90 minutes then let's lower it.
16 But I think we have to -- I think we have a
17 responsibility to track this and make a difference in
18 the patients' outcomes.

19 DR. COOK: So Deanna, though on this filter
20 though, this is just transport time. I think Filter 5
21 is the -- what we talked about --

22 MS. WOLFE: Right.

23 DR. COOK: -- with 30 and 90 minutes.

24 MS. WOLFE: From the time you get out of one
25 door to the next door. Well, do you think the ambulance

Page 69

1 together that was my thought. The numbers are so
2 disparate by regions those top three regions are a lot
3 tighter and then the east and the southern regions,
4 almost like there should be two, at least two for those
5 top three regions. Maybe I would suggest in an hour and
6 trying to get 90 percent of the patients in within an
7 hour.

8 And for maybe the bottom part of the state
9 trying to get 50 percent of the patients in within an
10 hour or maybe that's not even reasonable. But I think
11 it should be broken down.

12 DR. COOK: I think if we keep the filter we
13 should break it into two different sections.

14 DR. TAILLAC: Unless there is some way,
15 Matthew, to get the destinations for the out of state
16 facilities. I mean, if the air agency flies them from
17 Utah to St. Mary's or Vegas, you should have that record
18 from the state agency to say -- from the air agency to
19 say what time they arrived.

20 MR. DALLEY: Might not be that easy.

21 Sometimes agencies comes from --

22 DR. TAILLAC: Okay. You're right. It starts
23 out of state.

24 MR. DALTON: Las Vegas will pick up.

25 DR. TAILLAC: You're right. And then drive

Page 71

1 is stopping at McDonald's?

2 DR. COOK: I hope not.

3 MR. LARSON: Well, but then that's what you
4 got to look at. You know, when you see these long
5 times. I mean, they're not but --

6 MR. SANDERSON: Hey, they are hungry guys.

7 MR. LARSON: What's that?

8 MR. SANDERSON: They are hungry guys.

9 DR. TAILLAC: They buy something for the
10 patient, too.

11 MR. SANDERSON: Right.

12 MR. LARSON: But I wonder if there is
13 something -- I don't know. I'm just thinking in our
14 facility, we do things like we have to -- this is air so
15 I shouldn't say that. But we have to -- we try to
16 influence how fast we can get the ground team together
17 and those kind of things.

18 DR. COOK: Can we break this up like we did 5
19 and do 6A and 6B and have 6A is all regions of the state
20 other than the two southernmost regions and 6B is the
21 southernmost regions and give them two different times?
22 Two hours for everyone other than the two southernmost
23 regions and give them three hours or something like
24 that? I mean, I --

25 MR. CHRISTENSON: That was -- in putting this

Page 70

1 from St. George.

2 DR. COOK: Yeah. I mean, if the helicopter in
3 St. George takes that patient almost immediately and
4 flies them to Las Vegas, a lot of those patients are
5 still going to have just over an hour time just from
6 St. George.

7 Any motions?

8 Jason, what do you think? You're -- you live
9 this so what -- I mean, should we break it into two
10 sections?

11 MR. LARSON: I guess I can go both ways on it.
12 I don't know. I think part of -- part of me wants to
13 say just don't and just have -- just track it all.

14 DR. COOK: Have that standard.

15 MR. LARSON: And like you said, Dixie now has
16 a helicopter, that may change anyway.

17 DR. TAILLAC: That's true.

18 DR. COOK: Yeah. They will change. And as
19 they are a level two center, if they become a level two
20 center, which I'm sure they will soon, this then goes
21 away for them with the patients they're keeping and the
22 ones that they're not keeping they need to try to get
23 transferred as quickly as possible.

24 DR. TAILLAC: Yeah. And I guess, even if the
25 shorter ones are going south, you still want a good

Page 72

<p>1 transport time for the ones that do go north, right?</p> <p>2 DR. COOK: You do.</p> <p>3 You have a motion?</p> <p>4 MR. SANDERSON: Well, you got to realize, too,</p> <p>5 with time, the air agencies are spreading out throughout</p> <p>6 the state, becoming more remote. So I think that</p> <p>7 inherently might speed up our transport times because of</p> <p>8 the location they get placed.</p> <p>9 DR. TAILLAC: It will be interesting to track</p> <p>10 this over the next few years because there has been a</p> <p>11 fair amount changes in the -- in the state --</p> <p>12 DR. COOK: There have been.</p> <p>13 DR. TAILLAC: -- over the last two years.</p> <p>14 DR. COOK: So if we're going to pick one</p> <p>15 number, if we're to keep it as one number, what's the</p> <p>16 number? Is it two hours? Is it three hours? What is</p> <p>17 it? For transport time.</p> <p>18 MR. LARSON: I would almost say, keep it the</p> <p>19 same. Do the same thing and just see what -- I mean,</p> <p>20 had enough change like you said maybe -- maybe that's</p> <p>21 good change. I mean, for instance, we have a helicopter</p> <p>22 service Tricounty area, ours isn't changed, you know</p> <p>23 what I mean. Dixie is changing. What if we just look</p> <p>24 at this --</p> <p>25 DR. TAILLAC: Just track it for while --</p> <p style="text-align: right;">Page 73</p>	<p>1 MR. CHRISTENSON: Okay. So we're not going to</p> <p>2 set a definitive time, we're just going to continue to</p> <p>3 look at times of transport?</p> <p>4 DR. COOK: Correct. Correct.</p> <p>5 Anything else?</p> <p>6 MR. CHRISTENSON: That's it.</p> <p>7 DR. COOK: Thank you, very much. That was</p> <p>8 very, very interesting data.</p> <p>9 It's that sort of data that will really make a</p> <p>10 difference in our trauma care delivery. Thank you.</p> <p>11 That was great.</p> <p>12 Shari, I think you're next up and --</p> <p>13 MS. HUNSAKER: You know what? This is going</p> <p>14 to be so short and sweet.</p> <p>15 DR. COOK: Make it short and make it sweet,</p> <p>16 that would be good. Thank you.</p> <p>17 MS. HUNSAKER: Okay. As far as our trauma</p> <p>18 registry is concerned, the 2012 data is now scrubbed,</p> <p>19 cleaned and available in the cube for data analysis.</p> <p>20 And the 2014 updates have been submitted to</p> <p>21 CDM. There was some delay, totally on me because I was</p> <p>22 out for knee surgery. I didn't them in to them before I</p> <p>23 took sometime off. But that might work to our favor</p> <p>24 because I'm going to try and squeeze in these last two</p> <p>25 that we came up with today into that.</p> <p style="text-align: right;">Page 75</p>
<p>1 DR. COOK: We look at it next year.</p> <p>2 DR. TAILLAC: -- and before we make a</p> <p>3 decision, before we change it. How about that? See</p> <p>4 what it looks like next year.</p> <p>5 DR. COOK: Got a motion?</p> <p>6 MR. LARSON: That's a motion.</p> <p>7 DR. COOK: Okay. Second?</p> <p>8 MR. DALLEY: Second.</p> <p>9 DR. COOK: Second. Okay. All in favor aye.</p> <p>10 Any opposed?</p> <p>11 MR. CHRISTENSON: Can you tell me what it was?</p> <p>12 DR. COOK: Yeah, keep it the same.</p> <p>13 MR. CHRISTENSON: That was quick.</p> <p>14 DR. COOK: Keep it the same.</p> <p>15 MR. CHRISTENSEN: There isn't a time right</p> <p>16 now -- so -- there isn't a time yet. We're just looking</p> <p>17 at it.</p> <p>18 DR. COOK: But this is under an hour.</p> <p>19 MR. CHRISTENSEN: Yeah, is map is under an</p> <p>20 hour. We have the other map under two hours.</p> <p>21 DR. TAILLAC: So let's do that again.</p> <p>22 MR. DALLEY: Break it up.</p> <p>23 MR. CHRISTENSON: Just keep doing -- keep</p> <p>24 monitoring this way?</p> <p>25 MR. DALLEY: Yeah.</p> <p style="text-align: right;">Page 74</p>	<p>1 As far as -- I mean, are there any other</p> <p>2 questions regarding the registry? We had our Tug Spring</p> <p>3 Seminar the first part of March. It was extremely well</p> <p>4 attended. We hired Cathy Cookman and her consultants</p> <p>5 team to come out and train our trauma users on ICD10</p> <p>6 coding specific to trauma. And I think it was</p> <p>7 excellent.</p> <p>8 If you'd like to me jump down to No. 7, I can</p> <p>9 tell you that for our free-standing emergency department</p> <p>10 data reporting, it had come to my attention that the</p> <p>11 standalone EDs were not reporting any trauma data. And</p> <p>12 I was able to do a query out of POLARIS to determine how</p> <p>13 many runs were delivered to those standalone EDs but</p> <p>14 getting the destination from those EDs out the count for</p> <p>15 the various destinations was impossible because of the</p> <p>16 various ways that EMS agencies record their</p> <p>17 destinations. Some of them put the name. Some of them</p> <p>18 put the street address. So I can't get any meaningful</p> <p>19 data for their destinations.</p> <p>20 But I have started the process of letting the</p> <p>21 standalone ED departments know that they are responsible</p> <p>22 for submitting trauma data whether it's through their</p> <p>23 hosting hospital or they do the data entry themselves.</p> <p>24 DR. TAILLAC: I would argue, for what is it's</p> <p>25 worth, that they should -- and we've made them do this</p> <p style="text-align: right;">Page 76</p>

<p>1 for stroke. Not that they're designated --</p> <p>2 MR. JEX: That's true.</p> <p>3 DR. TAILLAC: -- but they had should be</p> <p>4 sending data separate because they're a separate --</p> <p>5 freaking geography, you know, separate building. So I</p> <p>6 don't care who does it, their same trauma manager can do</p> <p>7 it from the mothership but they need to be able to code</p> <p>8 themself --</p> <p>9 MS. HUNSAKER: They are breaking it out by --</p> <p>10 yeah.</p> <p>11 DR. TAILLAC: So it doesn't all say St. Marks</p> <p>12 or University of Utah --</p> <p>13 MS. HUNSAKER: Right. Right.</p> <p>14 DR. TAILLAC: -- whatever.</p> <p>15 DR. COOK: It has to be separate.</p> <p>16 DR. TAILLAC: Okay.</p> <p>17 MS. HUNSAKER: It says Davis Hospital, Weber</p> <p>18 Campus and South Jordan.</p> <p>19 DR. TAILLAC: We can know. Okay. That's</p> <p>20 good.</p> <p>21 MS. HUNSAKER: And I fixed it up in POLARIS so</p> <p>22 that hospital users at the U can see the PCRs from both</p> <p>23 South Jordan and the U. And the users at Davis Hospital</p> <p>24 can see the PCRs for their hospital or the Weber Campus</p> <p>25 destinations.</p> <p style="text-align: right;">Page 77</p>	<p>1 together with the -- with the trauma medical directors</p> <p>2 both in the designated trauma centers and our seating</p> <p>3 designation. We had that discussion and we have</p> <p>4 something to report on that basis at our next meeting.</p> <p>5 DR. COOK: That is terrific that's monumental</p> <p>6 actually.</p> <p>7 MR. JEX: That's it.</p> <p>8 DR. COOK: Let me ask, Bob, is there someone</p> <p>9 who is spearheading that specifically, who is making</p> <p>10 sure that everyone is included that needs to be included</p> <p>11 what's --</p> <p>12 MR. JEX: Ahh...</p> <p>13 DR. COOK: I guess I'm just trying to</p> <p>14 understand if --</p> <p>15 MR. JEX: At the meeting Dr. Morris indicated</p> <p>16 at the -- that Dr. Van Boerum and Dr. Marrouche be</p> <p>17 cochair of that on behalf of the COT and make sure</p> <p>18 that -- short answer, is I'm staffing -- yeah,</p> <p>19 they'll --</p> <p>20 DR. COOK: Okay.</p> <p>21 MR. JEX: -- make sure that happens.</p> <p>22 DR. TAILLAC: Did you want to discuss this</p> <p>23 briefly or just take it off line? Because you are --</p> <p>24 like I just ask are you inviting all of the hospital</p> <p>25 representatives from SST to are you meet withing trauma</p> <p style="text-align: right;">Page 79</p>
<p>1 DR. COOK: Thank you. Thank you.</p> <p>2 Bob, do you want to go designation and then I</p> <p>3 think we will have some more that comes up with on</p> <p>4 free-standing emergency departments under designation,</p> <p>5 as well.</p> <p>6 MR. JEX: Well, I will do five and six</p> <p>7 together just because I can do it in five minutes or</p> <p>8 less.</p> <p>9 Currently, there are 19 designated trauma</p> <p>10 centers in the state. This year we will have a plan on</p> <p>11 five additional trauma centers by the -- by December 31:</p> <p>12 San Pete, Delta, Heber, Ashley Valley and Jordan Valley</p> <p>13 will -- are on track for designation this year. Two</p> <p>14 other -- I'm sorry, take Ashley Valley out of that</p> <p>15 because I'm not sure.</p> <p>16 Davis and Lake View are on track for next</p> <p>17 year. Lake View is having a hard time determining</p> <p>18 whether they want to be one or not.</p> <p>19 The SST, which is Summit, Tooele and Salt Lake</p> <p>20 Counties, it was reported last time, the COT indicated</p> <p>21 they're wanting us to work with the department on</p> <p>22 setting reasonable expectations for members and types of</p> <p>23 call centers to be designated in that region.</p> <p>24 Dr. Marrouche from the University and I've</p> <p>25 talked to Dr. Von Boram here today, we will be getting</p> <p style="text-align: right;">Page 78</p>	<p>1 centers first kind of hash out a plan I guess?</p> <p>2 MR. JEX: Hash out a plan and then invite the</p> <p>3 others.</p> <p>4 DR. TAILLAC: Okay.</p> <p>5 MR. JEX: So those that we'd inviting would</p> <p>6 be: University, Primary, IMC, St. Marks, Jordan Valley.</p> <p>7 Should be five.</p> <p>8 MS. HUNSAKER: And Pioneer.</p> <p>9 MR. JEX: Pioneer. And then we'll come up</p> <p>10 with a plan and then invite the others involved to go</p> <p>11 drill out a plan.</p> <p>12 DR. TAILLAC: Okay. That's great.</p> <p>13 DR. COOK: Don, you have to any comments on</p> <p>14 that? Okay.</p> <p>15 That's -- that's very -- that's going to very</p> <p>16 productive. Very helpful.</p> <p>17 DR. TAILLAC: Interesting.</p> <p>18 DR. COOK: Over time it will be very</p> <p>19 productive.</p> <p>20 DR. TAILLAC: That's right.</p> <p>21 DR. COOK: In the short term we will have some</p> <p>22 fireworks.</p> <p>23 DR. TAILLAC: Have you been invited to do</p> <p>24 opening the ceremonies potentially?</p> <p>25 DR. COOK: I have not. I'm more than happy to</p> <p style="text-align: right;">Page 80</p>

<p>1 be present and involved in any role asked to be. 2 MR. JEX: And in reality, that was part of the 3 discussion, that we ask that Dr. Cook come -- he was not 4 at the meeting so... 5 DR. TAILLAC: He got invited. 6 DR. COOK: When you're not there. 7 MR. JEX: Dr. Cook would be there to talk 8 about a concept and it's suggested he come. 9 DR. COOK: I'm happy to participate in 10 whatever way you guys want me to participate. 11 DR. TAILLAC: I don't think you need to come 12 to every meeting but I think it would be help to. 13 DR. COOK: Start the ball rolling. 14 DR. TAILLAC: Share your experience, 15 basically. 16 DR. COOK: Sure. 17 DR. VAN BOERUM: Set the stage. 18 DR. COOK: Sure. 19 Anything else, Bob, on either of those two 20 points? 21 MR. JEX: Not unless there are questions. 22 Okay. 23 MR. CHRISTENSON: When? 24 MR. JEX: When? 25 MR. CHRISTENSON: When?</p> <p style="text-align: right;">Page 81</p>	<p>1 they've opened -- been open for quite a year. They have 2 30 beds. Here is the data for Lone Peak. 3 The graph is transports to Lone Peak broken 4 out by primary impression. You can see the bottom, they 5 were 49 trauma injuries that EMS delivered to Lone Peak 6 Emergency Center. The -- on far the right-hand side 7 from Lone Peak are the transfers out of the Lone Peak 8 Emergency Center. 9 So there were clearly a lot more -- a lot more 10 activity in terms of just inter-facility transports 11 during this three and a half/four year period. 2650 12 transfers and 64 went to -- 64 percent went to 13 St. Marks. 14 MS. HUNSAKER: Now, it is possible that some 15 of those 1707 did not arrive at the Lone Peak by EMS. 16 MR. CHRISTENSON: Most of them didn't. Yeah, 17 the only ones that -- only 226 was the total delivered 18 by EMS. The far and away majority was not delivered to 19 EMS -- 20 DR. TAILLAC: Right. 21 MR. CHRISTENSON: -- or not delivered through 22 EMS. 23 DR. TAILLAC: So not a lot, that's almost four 24 years. 25 MR. CHRISTENSON: Yeah, I don't think -- May</p> <p style="text-align: right;">Page 83</p>
<p>1 MR. JEX: We haven't set up a date but we will 2 have it done -- we will have an additional meeting prior 3 to report at the next trauma system meeting. 4 DR. COOK: Great. Thank you. Yeah, if we 5 can -- let me know on that would be good. 6 Back to point No. 7, freestanding emergency 7 department data reporting. 8 DR. TAILLAC: Say that again. 9 DR. COOK: Are you presenting No. 7 or is -- 10 DR. TAILLAC: Oh, yeah. Yeah. Sure. Yep, I 11 can. 12 So this is the freestanding. So here is -- 13 Lone Peak Emergency Center, South Jordan Health Center, 14 Davis Emergency Department. Just here is the data that 15 we're able to pull out of POLARIS. 16 MS. HUNSAKER: That Lone Peak is now a 17 hospital. They're not -- they're no longer a standalone 18 ED. 19 DR. TAILLAC: Right. 20 MR. CHRISTENSON: Yep, that's true. 21 DR. TAILLAC: They weren't in 2011. 22 You can show that data if you want. 23 MR. CHRISTENSON: Yep. 24 Lone Peak opened in May 2010, 10,000 patients 25 that first year. Lone Peak Hospital opened last year so</p> <p style="text-align: right;">Page 82</p>	<p>1 of 2010 and that was just queried in March of 2014 so 2 right at four years. 3 Here is South Jordan. That opened in 4 January 2012. There were 8,000 new patients in that 5 2012 year. Same graphic. These are the EMS transports 6 to South Jordan broken out by primary impression at the 7 bottom. Twenty-four traumatic injuries, so a total of 8 226 in about a two-year period. And then on the 9 right-hand side the inter-facility transfers, 894. And 10 81 percent went to the University. 11 Davis Emergency Department, Weber Campus has 12 not been open quite a year. There were 51 -- so far 13 there has been 51 EMS transports to the Weber Campus. 14 Eight were traumatic injury and then 327 inter-facility 15 transports with 65 percent going to Davis Hospital. 16 A quick rundown of the numbers for those 17 freestanding entities. 18 DR. COOK: Thank you. 19 So with this data, I'm remembering back to our 20 discussion, I believe, at our last meeting about how 21 these freestanding emergency departments fit into our 22 trauma system what -- what standard do they need to be 23 held to. I think that that is what we need to discuss 24 and potentially decide from, you know, our committee 25 perspective.</p> <p style="text-align: right;">Page 84</p>

<p>1 Are there thoughts? I have some strong 2 opinions but what does the committee feel? 3 DR. TAILLAC: Why don't you start? 4 DR. COOK: Well, my -- my personal opinion is 5 that these facilities ought to be held to the same 6 standard as any facility receiving trauma patients. And 7 that if they -- if they would like to receive trauma 8 patients they should jump through the same hoops that 9 any other facility should jump through and that would 10 include potentially leveling at whatever level they can 11 level at which very well may be a level-five trauma 12 center. 13 DR. TAILLAC: Does a level five never to have 14 a have surgeon? 15 MR. JEX: That's correct. 16 DR. COOK: Never has to have surgeon. 17 DR. TAILLAC: Does a four does, right? 18 MS. WOLFE: Does a level five have to be able 19 to keep patients in their hospital? 20 MR. JEX: By definition a level five facility 21 is a treat -- or is a reception, a stabilize and 22 transfer, resuscitate. The standards do indicate 23 that -- that there should be an operating room, but in 24 reality these are centers that are not offering any 25 definitive care.</p> <p style="text-align: right;">Page 85</p>	<p>1 falls and breaks his leg and you put a splint on it and 2 he sees ortho the next week is the same thing that he 3 could go to an InstaCare, same thing happen. 4 So not all of them will need, you know, a 5 second transport. I see what you're saying. But the 6 hard part is defining which ones won't. 7 MS. WOLFE: Well, if they're injured enough to 8 go by ambulance they maybe shouldn't go to a 9 freestanding clinic. 10 MS. GLAUSIER: And that's what I was 11 wondering. Can we limit what EMS are taking to them? 12 Like if -- they show up, but -- the vehicle, there is 13 nothing we can do about. But can we limit what EMS are 14 taking to them? Because if it has to go by EMS, I don't 15 know if you could have them transferred out to another 16 facility. 17 MS. WOLFE: Look at that 51 transports in and 18 327 transports out. That's whole lot of ambulance usage 19 in less than a year. 20 MR. SANDERSON: But you're going to run into 21 -- 22 DR. TAILLAC: Only 51 came in though, that's 23 the point. So even if all 51 were transported out, 24 which they weren't -- the rest were just walk ins that 25 got transported out.</p> <p style="text-align: right;">Page 87</p>
<p>1 DR. TAILLAC: So could they qualify at the 2 level five under current guidelines? 3 MR. JEX: In the current guidelines, no. They 4 would have to make the availability of a 24-hour 5 operating room option. 6 DR. COOK: Is -- 7 MS. WOLFE: So a clinic with a CAT scan cannot 8 accept trauma patients. I mean, I think we have to take 9 that stand. 10 DR. VAN BOERUM: I fully agree. I don't think 11 there should be any trauma patients going. 12 DR. TAILLAC: Ever? Any? I mean, because, 13 you know -- 14 DR. COOK: Again, the reality is that they are 15 going to -- patients are going to go there with 16 trauma -- 17 DR. VAN BOERUM: They still can't care of 18 them. 19 DR. COOK: -- diagnosis. 20 DR. VAN BOERUM: So why don't they just go to 21 the closest facility. You know, you're already going 22 delay their treatment by on average about four hours. 23 DR. TAILLAC: I would like -- we could 24 probably -- I'm not trying to defend it although I work 25 in one so I got to be careful. But, you know, a kid who</p> <p style="text-align: right;">Page 86</p>	<p>1 MR. SANDERSON: From an EMS perspective, too, 2 if I know Dr. Taillac is in this facility and I've got a 3 ground level fall or I've got a broken ankle isolated, 4 trauma injury, yeah, from an EMS perspective, be more 5 comfortable taking it in to him knowing it's going to be 6 splinted and then just sent out. 7 But, our guidelines in Utah County, we would 8 never take a trauma criteria patient to that type of 9 facility. 10 DR. VAN BOERUM: Yeah. 11 DR. TAILLAC: And EMS generally does not want 12 to put a patient in position to get a secondary 13 transport for a whole bunch of reasons. They just know 14 that's not a good idea so they try and avoid that. So 15 there is self-triage on EMS -- 16 MR. SANDERSON: And they -- and the patient 17 may request. The patients -- 18 DR. VAN BOERUM: There is some abuse that goes 19 on in that. I've actually had -- an ambulance driver 20 told me, you know, off the record, that he was 21 instructed take the patient to this place, drop him off 22 and go out to the parking lot hang around for a little 23 bit because there was a pretty good chance they were 24 going to be transferred to the next facility that way -- 25 MS. GLAUSIER: Sure, it's more revenue. I</p> <p style="text-align: right;">Page 88</p>

<p>1 mean, that's --</p> <p>2 DR. VAN BOERUM: -- their -- that's --</p> <p>3 DR. TAILLAC: Dang, I hadn't thought of that.</p> <p>4 MS. WOLFE: Peter, this is what's happening</p> <p>5 and I know this for a fact. This came out in our EMS</p> <p>6 case review. The agencies contracted with this</p> <p>7 freestanding and they said, we guarantee you at least 11</p> <p>8 transfers a month. So they brought in a new rig, hired</p> <p>9 new people to handle those transfers. Those patients</p> <p>10 are coming into them by ambulance, they wait, they get</p> <p>11 called to transport them to another hospital.</p> <p>12 They said it's a great revenue generation for</p> <p>13 their city and they're doing that for revenue</p> <p>14 generation. And the patient doesn't get stuck with the</p> <p>15 bill, the freestanding pays the ambulance to transport</p> <p>16 them a second time. So it's -- it's an unethical</p> <p>17 practice.</p> <p>18 MR. DALLEY: I agree. I don't disagree with</p> <p>19 anything that's anything that is being said but I don't</p> <p>20 we, as a committee, have the ability to say you can't do</p> <p>21 this or you can't do that. I think we can recommend and</p> <p>22 I certainly wouldn't be opposed to recommending</p> <p>23 something we've talked about.</p> <p>24 But I guess that's the question is where those</p> <p>25 recommend -- where do those recommendations go and who</p> <p style="text-align: right;">Page 89</p>	<p>1 you wanted to.</p> <p>2 DR. TAILLAC: You being who? Not out here but</p> <p>3 the bureau.</p> <p>4 MS. WHITNEY: Yeah, you could make a</p> <p>5 recommendation to make a rule change.</p> <p>6 MR. DALTON: Yeah, we can make a</p> <p>7 recommendation. I guess that's my point. And I'm not</p> <p>8 opposed to doing that, I'm just -- when Karen was</p> <p>9 saying, you know, how do we impose that, we can't. But</p> <p>10 we can certainly make a recommendation.</p> <p>11 DR. TAILLAC: I guess, if we could -- I'd</p> <p>12 interested, Bob, what in other markets around the</p> <p>13 country where there are freestanding ERs popping up,</p> <p>14 what are other states doing that have trauma systems?</p> <p>15 MR. JEX: I don't know the answer to that</p> <p>16 question.</p> <p>17 DR. TAILLAC: I know in Texas it's a complete</p> <p>18 free-for-all. It's an absolute disaster. Yeah.</p> <p>19 Because in Texas -- here we don't have the problem at</p> <p>20 least all of ours are connected to a real hospital. In</p> <p>21 Texas they literally are InstaCares with CT scans.</p> <p>22 That's it. No connection to a facility. It's -- and</p> <p>23 the ambulances don't know what to do.</p> <p>24 MS. WHITNEY: And it's like 7-Eleven. There</p> <p>25 is --</p> <p style="text-align: right;">Page 91</p>
<p>1 has the ability to control that kind of stuff because</p> <p>2 we're an advisory committee.</p> <p>3 DR. COOK: Sure.</p> <p>4 Jolene, do you have any comments on that based</p> <p>5 on what --</p> <p>6 MS. WHITNEY: I'm sorry? I wasn't paying</p> <p>7 attention.</p> <p>8 DR. COOK: I didn't mean to catch you</p> <p>9 offguard.</p> <p>10 MS. WHITNEY: I know, I slipped away for one</p> <p>11 second.</p> <p>12 MS. WOLFE: We can't tell anybody what do to</p> <p>13 so where do we go.</p> <p>14 DR. TAILLAC: So this committee can't say that</p> <p>15 ambulances can't go to freestanding -- so even though</p> <p>16 they might want to, I don't know if that's the case, but</p> <p>17 if they wanted so. So they advise the bureau. Who can</p> <p>18 say that?</p> <p>19 MR. DALTON: The local medical directors in</p> <p>20 the protocols.</p> <p>21 DR. TAILLAC: Right. Is there something above</p> <p>22 that?</p> <p>23 MS. HUNSAKER: Could the EMS committee make</p> <p>24 it?</p> <p>25 MS. WHITNEY: That you could make it a rule if</p> <p style="text-align: right;">Page 90</p>	<p>1 DR. TAILLAC: One at every corner.</p> <p>2 MR. DALTON: I mean, could it be implemented</p> <p>3 in the hospital triage guidelines.</p> <p>4 DR. TAILLAC: You certainly could and they</p> <p>5 would apply, but, you know, I mean, the question is: Do</p> <p>6 you want any trauma patients to go there? I mean, is it</p> <p>7 zero or is it trying to pick the right ones. Which is</p> <p>8 slippery slope, it's hard to do.</p> <p>9 MR. SANDERSON: It is.</p> <p>10 DR. TAILLAC: Especially if there is this, I'm</p> <p>11 going to wait in the parking lot and take them again</p> <p>12 attitude. That's horrible.</p> <p>13 MR. SANDERSON: If it's not what's best for</p> <p>14 the patient, it's easy for a medical director and any</p> <p>15 one of our agencies to say, that doesn't meet our</p> <p>16 protocols, we don't do it.</p> <p>17 DR. TAILLAC: Sure. The medical director is</p> <p>18 in power to decide destination and can say you will not</p> <p>19 go there with trauma.</p> <p>20 MS. WOLFE: But we have inactive medical</p> <p>21 directors so it's not being -- there no oversight.</p> <p>22 DR. TAILLAC: And those medical directors are</p> <p>23 hired by the very higher agencies who are making</p> <p>24 money --</p> <p>25 MS. WOLFE: That's right.</p> <p style="text-align: right;">Page 92</p>

<p>1 DR. TAILLAC: -- on these transports, by the 2 way. Yeah. 3 DR. COOK: Correct. 4 MS. WHITNEY: But it is an issue that could be 5 discussed with the medical directors. 6 MS. WOLFE: And you have trauma patients going 7 to freestanding EDs when they're -- the hospital they 8 are affiliated with is not even a trauma center and then 9 the patients are getting taken to another trauma center. 10 DR. COOK: Do we have any -- it's obviously a 11 complicated problem and I think we all have pretty 12 strong feelings about what the right answer is here. 13 But do we feel like we have enough information or 14 consensus to make some sort of a recommendation? Do we 15 want to think over this and reassess it next meeting? I 16 mean, we talked about this to a certain at our last -- 17 last meeting. It's -- 18 MS. WOLFE: I think it's to the point we make 19 a recommendation. We were going to think about it last 20 meeting, haven't thought about it. I don't think we can 21 think about it anymore. 22 DR. COOK: I like that. 23 MR. SANDERSON: I was just going to say, what 24 about approaching the freestanding clinics from our 25 perspective and laying it out and saying, look, divert</p> <p style="text-align: right;">Page 93</p>	<p>1 MS. WHITNEY: -- or even one of the 2 subcommittees like operations. 3 MS. WOLFE: Because you think stemis and 4 strokes and septic patients and hot belly patients from 5 a perforated bowel and you can tell that they are sick, 6 shouldn't be going there. Not just trauma. 7 DR. TAILLAC: The ones you can tell are really 8 sick, right. A patient -- 9 DR. COOK: Sue -- 10 DR. TAILLAC: -- with stable vitals signs 11 shouldn't go there. 12 DR. COOK: Yeah. 13 DR. TAILLAC: That's a discriminator. 14 DR. COOK: Sue, do you have a comment? 15 MR. JEX: We do designate them as stroke 16 facilities with the rational that if a patient, a stroke 17 patient, needs treatment in a three-hour window and 18 transport down the street or across town, will take them 19 out of that window, it's more important that they get 20 the care there then fall out of triage -- 21 MS. WOLFE: If they haven't done anything to 22 really deserve that -- that designation. They're riding 23 on the coattails of their momma and they need do it 24 themselves. 25 MR. JEX: We do designate them.</p> <p style="text-align: right;">Page 95</p>
<p>1 them or if EMS calls -- maybe they will just accept them 2 because it's revenue, I understand that. But let's put 3 it on their shoulders to turn EMS away. 4 DR. TAILLAC: I think they won't do that. 5 That their whole reason for being is to get -- 6 MR. SANDERSON: Money. 7 DR. TAILLAC: -- as many patients as possible. 8 They will we understand we will careful. We only do the 9 right thing. But I don't think that would work. 10 I wonder is -- and Jolene, help me. 11 Is there a bigger venue, although this is the 12 State Trauma Committee. But this an important thing to 13 nip in the butt early -- 14 DR. COOK: Absolutely. 15 DR. TAILLAC: -- in my opinion No. 1. 16 No. 2, I think we should probably get a lot of 17 voices at the table to discuss it and I don't know if 18 this is the only venue. Because honestly, well, it's 19 bigger than trauma for one thing. We only control 20 trauma in this group. 21 I don't know. Is there a bigger venue where 22 this could be discussed? 23 MS. WHITNEY: Well, it does EMS -- State 24 EMS Committee -- 25 DR. TAILLAC: So that --</p> <p style="text-align: right;">Page 94</p>	<p>1 DR. TAILLAC: No, they're designate them. 2 MS. GLAUSIER: Because the freestanding ED's 3 they have to have their own stroke and -- 4 DR. TAILLAC: Yeah. 5 MS. GLAUSIER: -- stemi catheter or stroke 6 receiving in primary -- 7 MS. WOLFE: Are there any freestanding EDs 8 that are -- that are more than 10 minutes away from a 9 large hospital? There are not. 10 DR. TAILLAC: How large a hospital? 11 MS. GLAUSIER: A hospital that is -- 12 MS. WOLFE: A full service hospital. 13 MS. HUNSAKER: A real hospital. 14 MS. BURKE: That's true. 15 MS. WOLFE: I mean, it's true. They're not a 16 hospital. 17 (Multiple people talking at once.) 18 MS. WOLFE: Not unless -- you need to call a 19 spade a spade. They're not a hospital. 20 DR. COOK: So let's have -- let's have person 21 speak at a time. 22 Sue, can you -- 23 MS. DAY: Well, I guess I would make a 24 recommendation, I would just say, that is some reason we 25 have a level fives that are really not a ACS criteria.</p> <p style="text-align: right;">Page 96</p>

<p>1 Are there level fives anywhere else in the United 2 States? 3 MR. JEX: There is. And the reason is because 4 we have some rural facilities that benefit by treating a 5 trauma patient in a systematic practice manner that 6 are -- that don't have a general surgeon in their 7 community and are -- their emergency rooms are staffed 8 by mid-levels which falls outside of the ACS criteria. 9 But that's the reason, that's the rational. 10 DR. COOK: Peter. 11 DR. VAN BOERUM: For a rural -- not for -- 12 DR. TAILLAC: Yeah, right. 13 One -- we could make this recommendations. 14 One of which is upfront to say that these facilities 15 will not qualify for any trauma designation. Because 16 they don't, right? 17 And then two, again, the discussion about 18 whether any trauma patients should come by EMS to these 19 facilities is another a little more difficult decision 20 but the I think if the committee wanted to make a 21 recommendation I think that's within your purview to do. 22 DR. COOK: We could put the ouns back on them 23 in some sort of a recommendation that simply says 24 until -- until they make a case, until these centers 25 make a case that they should be leveled trauma centers</p> <p>Page 97</p>	<p>1 DR. TAILLAC: Right. 2 MS. BURKE: -- because it says transport to 3 the most appropriate facility. It doesn't say hospital 4 or facility if they need to stabilize a patient. 5 DR. TAILLAC: Yeah, fair enough. But if this 6 group were to say: We don't recommend ambulances go 7 trauma facilities, that might be an exception. 8 DR. COOK: And that maybe the way to say, 9 Peter, is we do not recommend -- using that language. 10 MR. JEX: That's a pretty soft way of doing it 11 but I think it sends the message. 12 MR. LARSON: I think that comes with what 13 you're saying, Mark. 14 MR. DALTON: We're recommending something. I 15 think that's appropriate. 16 DR. COOK: Yeah, does ultimately matter. 17 Yeah. 18 Do we have a motion? 19 MS. WOLFE: I will make a motion. 20 DR. COOK: What's the motion? 21 MS. WOLFE: The motion is we don't take 22 unstable patients -- do you want to say "unstable or 23 trauma?" 24 MR. LARSON: Yes, something. 25 MS. WOLFE: Kris has something to say. I</p> <p>Page 99</p>
<p>1 for some reason, that it is not our recommendation to 2 allow them to be, you know, designated. 3 And at some point if one of them feels 4 strongly about it then, you know, they can -- they can 5 come to us and make their case but until that time, we 6 don't -- 7 DR. TAILLAC: I think that softens that a 8 little bit more. 9 DR. COOK: We don't recommend and we won't 10 designate any of these freestanding facilities as a 11 trauma center. 12 The more difficult issue as, Peter, brought up 13 would be do would make -- do we carry that 14 recommendation to these facilities accepting ambulance 15 patients with a trauma diagnosis? Do we or do we not? 16 Or do we just leave that as is? 17 DR. TAILLAC: There is the occasional case 18 where, geographically, the patient is completely 19 unstable and crashing or has an airway that needs 20 attention where these places might be better than 21 driving seven more minutes to another place. I mean, so 22 sort of on that extreme end it would sort of be an 23 emergency exception potentially. 24 MS. BURKE: Well, the guidelines, EMS 25 guideline cover that already --</p> <p>Page 98</p>	<p>1 could see her peripherally. 2 DR. COOK: Kris. Kris, go ahead, and then 3 we'll -- 4 MS. HANSEN: What is a trauma patient? So 5 some little kid falls down and breaks his wrist, he 6 can't go to one these emergency centers? That's not 7 a -- 8 MS. WOLFE: You know, what? If they're coming 9 by ambulance -- 10 MR. LARSON: If there is little kid falling of 11 the monkeys bars they get transported by an ambulance. 12 DR. TAILLAC: Absolutely. Every day. 13 MS. WOLFE: Yeah, they are. 14 DR. TAILLAC: Everyday. That's the day. Is 15 there are the dinky patients that come by ambulance. 16 Everybody comes by ambulance. 17 MS. WOLFE: The schools call an ambulance 18 every time. 19 DR. TAILLAC: That's the problem. They bump 20 their head, school calls the ambulance. 21 MS. WOLFE: It's no big deal, they were -- 22 DR. TAILLAC: Yeah, if the -- ideal world 23 there would be a way to cut off very minor trauma. 24 DR. COOK: You could use and your level one 25 level two criteria. I mean, I hate to say it, but --</p> <p>Page 100</p>

<p>1 MS. HUNSAKER: Well, when we go live with 2 NEMSIS version 3, there is trauma triage criteria 3 included in those new data elements. And so the EMS 4 agencies will be required to enter values for that. So 5 we may want to table this until we are live with NEMSIS 6 3 and then we can do a quantifiable guideline that says, 7 you know, this value and above it is not appropriate to 8 transport to a standalone ED.</p> <p>9 DR. COOK: I think that's a good point but I 10 think that we -- like Peter said, I think that need to 11 nip this in the bud before it perpetuates itself beyond 12 what we'd like to see.</p> <p>13 DR. TAILLAC: I was going to say, the triage 14 and transfer guidelines that we have got now talk 15 about -- the first three which are physiologic, anatomic 16 say closest trauma center, then hospital and then last 17 says facilities.</p> <p>18 Pull it up here.</p> <p>19 MR. CHRISTENSON: I'm pulling it up right now.</p> <p>20 MR. JEX: First two are different policies.</p> <p>21 DR. COOK: Yeah, I've got it here.</p> <p>22 MS. WOLFE: Okay. So in our earlier meeting 23 we acknowledged that EMS don't know or follow the rule, 24 the transport and triage guidelines. And that we 25 recognize that as a weakness and we need to get out here</p> <p style="text-align: right;">Page 101</p>	<p>1 of trauma patients, accordingly.</p> <p>2 DR. TAILLAC: Which precludes transport to a 3 freestanding emergency --</p> <p>4 DR. COOK: Accordingly, the patients need to 5 go a trauma center or a hospital capable of taking care 6 of those --</p> <p>7 MR. LARSON: But a kid that fell and broke the 8 wrist at school would still be able to go there?</p> <p>9 DR. TAILLAC: It looks like he would. And it 10 says: If you don't go to any of the four steps, it -- 11 below that it says: Transport according to protocol 12 which means patients who do not meet any of the triage 13 criteria in steps one through four should be transported 14 to the most appropriate medical facility as outlined in 15 local EMS programs.</p> <p>16 MR. LARSON: Yeah.</p> <p>17 DR. COOK: Which is no longer a hospital. So 18 that would cover it.</p> <p>19 MR. LARSON: We just need to emphasize this.</p> <p>20 MR. SANDERSON: Well, by putting this out as a 21 minor change, it's going to get each agency at least to 22 review what's been in place forever or for, you know -- 23 so at least it's going to get it in front of them.</p> <p>24 DR. TAILLAC: That's -- I like it because it's 25 kind of real consistent, doesn't really change anything</p> <p style="text-align: right;">Page 103</p>
<p>1 and teach it. So how are we going to tell them now that 2 they need to follow that before they consider going to a 3 freestanding and they don't even know what it is.</p> <p>4 MR. JEX: Yeah. It says, step one, two, three 5 and four transfer to trauma center or hospital capable 6 of taking care.</p> <p>7 DR. TAILLAC: So they would have not meet any 8 of the steps to be transported, maybe -- that's an 9 interesting --</p> <p>10 MS. WOLFE: So that takes care of it but they 11 don't know about it.</p> <p>12 MS. DAY: If they just follow the guideline.</p> <p>13 DR. TAILLAC: But it's not --</p> <p>14 MS. WOLFE: But that's an educational point, 15 too, that has to be made. A freestanding ED is clinic 16 with a CAT scan, it's not a hospital.</p> <p>17 DR. COOK: So according to the guidelines that 18 we were just referring to, we could, one, make the 19 decision that we're not designating freestanding 20 facilities, freestanding emergency facilities as trauma 21 centers at this time and we don't intend to change that 22 but it could be, you know, brought forward in the 23 future.</p> <p>24 And secondly, we would strongly recommend that 25 we follow our standard triage guidelines for the triage</p> <p style="text-align: right;">Page 102</p>	<p>1 except you got to review this.</p> <p>2 MR. SANDERSON: Right. Right.</p> <p>3 MS. WOLFE: So every one of the EMS medical 4 directors needs something from you, Dr. Taillac, that 5 says --</p> <p>6 DR. TAILLAC: From the committee.</p> <p>7 MS. WOLFE: -- we need to -- yeah, you can 8 represent the committee. But we need to follow these 9 guidelines. It's been brought to our attention many 10 agencies don't even know they exist. And it's your 11 possibility as the medical director to get this out 12 there and this involves freestanding -- however you have 13 to say it.</p> <p>14 MS. HANSEN: So back up. We don't know -- 15 really don't know that they're not following because on 16 this slide right here, you say eight were traumatic 17 injury patients, but they could have been kids with 18 broken arms. We don't know that.</p> <p>19 DR. TAILLAC: Sure. Or lacerations.</p> <p>20 MS. HANSEN: Or a laceration. We don't really 21 know that.</p> <p>22 MS. WOLFE: We know of four. We know of four 23 that at the facility since June. So we do know some.</p> <p>24 MS. HANSEN: All we do know, they don't --</p> <p>25 MS. WOLFE: Right.</p> <p style="text-align: right;">Page 104</p>

<p>1 DR. COOK: Let's make a motion as to what 2 we're going to do with this. It's going to have to do 3 with designation of these centers and then some sort of 4 emphasis or education that goes out to these centers. 5 Someone want to make a motion? 6 I guess I cannot as the chair. 7 MS. WOLFE: I will make it, what he said. 8 DR. TAILLAC: Two things. Say your two 9 things. Designation. 10 MS. WOLFE: Yes, that we're not going to 11 designate freestanding emergency departments as trauma 12 centers. 13 DR. COOK: Trauma centers. 14 MS. WOLFE: And that we will have the medical 15 directors be charged with the accountability piece of 16 going out and teaching their agencies what's appropriate 17 and not appropriate based on transport guidelines. 18 MR. LARSON: Do you want to include what Craig 19 had said about we don't want to -- we're not -- we don't 20 recommend at this time unless they can present a better 21 case for it, just so it softens it a little bit? 22 MS. WOLFE: Yes. 23 MR. LARSON: Gives them the ability to come 24 back to us. 25 DR. TAILLAC: I think the designation is quite</p> <p style="text-align: right;">Page 105</p>	<p>1 guidelines when deciding transport destinations. And I 2 could send out some teaching that says these would 3 preclude freestanding EDs receiving trauma patients who 4 meet any of the criteria. 5 DR. COOK: Want to add that to your motion? 6 MS. WOLFE: That's the motion I just made. 7 DR. COOK: Okay. 8 MS. WHITNEY: That's your second amendment. 9 MR. SANDERSON: I second that. 10 DR. COOK: I have a second from Dr. Sanderson 11 and a third. All in favor aye. Any opposed? 12 It is passed unanimously. 13 It's good. It's very good. 14 So do we have -- I have one other minor point 15 which has to do with the next meeting by any other 16 issues or concerns that anyone wants to bring up during 17 the meeting? 18 And secondly, anything that we need to put on 19 the agenda for next meeting that we haven't already made 20 Jolene aware of. 21 MS. WHITNEY: I have a couple of items that I 22 was given by couple of members already and it was to 23 talk about some PI initiatives for next time. And also, 24 bring the trauma program manager tool kit to the -- to 25 the committee.</p> <p style="text-align: right;">Page 107</p>
<p>1 right. They are not a hospital. 2 MR. JEX: We've got to change the criteria. 3 MR. LARSON: But I think that could help. 4 MS. BURKE: And that helps for any 24-hour EDs 5 that are going to pop up, which they will. 6 DR. TAILLAC: I just think that that's common 7 sense. If someone wants -- I think it's a decision, in 8 my opinion, I'm not on the committee -- but it's a 9 decision. It's a closed issue unless someone brings 10 back to this committee -- 11 MR. LARSON: With good reason. 12 DR. TAILLAC: -- a real case that says we 13 should change the rules. Because currently the rules 14 say they can't be trauma centers. So if we don't change 15 the rules, then they can't be trauma centers. 16 MR. JEX: It's a moot point unless you change 17 the rules. 18 DR. TAILLAC: Right. For a good reason to 19 change the rules -- 20 DR. COOK: Okay. 21 DR. TAILLAC: -- would be a different 22 argument, I guess. 23 But would also -- I think, part of -- the 24 second part of the motion I would like to see is that 25 EMS agencies utilize the state-approved field triage</p> <p style="text-align: right;">Page 106</p>	<p>1 DR. COOK: That sounds good. 2 MS. HUNSAKER: I would also like to discuss 3 modifying our Utah trauma registry data dictionary to 4 only include the Utah specific elements and that we 5 distribute it as an appendix to the NTDS data 6 dictionary. 7 DR. COOK: Is that something you want to talk 8 about next time? 9 MS. HUNSAKER: Uh-huh. 10 DR. COOK: Okay. I have no idea what that 11 means. 12 MS. HUNSAKER: I've been here way too long 13 today. Just say that Shari Hunsaker wants to talk about 14 the data dictionary. 15 DR. COOK: Sounds good. Sound goods. 16 MS. WOLFE: And I have a couple of things 17 right now. 18 DR. COOK: Okay. 19 MS. WOLFE: Maybe you're going shoot me and 20 just tell me to walk out, but on our Audit Filter No. 3, 21 should we not say greater than 30 minutes instead of 20 22 since that's the national standard? 23 DR. COOK: Where is that? 24 MS. WOLFE: Ground transport trauma patient 25 scene departure to hospital greater than 20 minutes.</p> <p style="text-align: right;">Page 108</p>

<p>1 They're saying to -- No. 3. 2 MR. SANDERSON: Were we just trying to be 3 better than anybody else with 20 minutes? 4 MS. WOLFE: Oh, I thought it was -- I thought 5 this was -- I thought this was talking about call a 6 helicopter if they were 20 minutes. Okay. So ignore 7 that one. 8 MS. BURKE: It's scene time. 9 MS. WOLFE: Yeah. Okay. And then -- so then 10 my only other question as I went through these was on 11 No. 7. Trauma patients who die with a probability of 12 survival rate greater than 50 or who live with the 13 probability of survival less than 50 and they want 14 the true score for trauma patients using measures 15 collected at the first presenting hospital. 16 So if we're asking hospitals to transfer 17 quickly, you don't even know all of their injuries and 18 we're going to use the ISS on the two injuries you might 19 know and maybe they've got eight injuries. And I don't 20 think that -- I think you should say definitive 21 hospital. 22 DR. COOK: Definitive hospital. 23 MS. WOLFE: Not first presenting hospital. 24 MR. LARSON: That's a good. 25 MS. BURKE: I agree.</p> <p style="text-align: right;">Page 109</p>	<p>1 Anything else? Our next meeting and, Jolene, 2 you can -- just at the bottom here, June 9th. I am out 3 of town. I don't know if anybody else is out of town 4 that day. 5 MS. WHITNEY: Well, actually I think it was 6 supposed to be the 23rd. So if that's still okay with 7 everybody we'll -- 8 DR. COOK: Better for me. 9 MS. WHITNEY: Is that better for you, Craig? 10 DR. COOK: I actually have it on my calendar 11 so, yes. 12 MS. WHITNEY: There you go. That's probably 13 what it was in the first place. 14 DR. TAILLAC: It is. 15 DR. COOK: There we go. At your usual 16 location. 17 MS. WHITNEY: The 23rd, June 23rd. And then 18 next one after that is September 22nd. One after that 19 is December 15th. And we'll ask Suzanne to send out a 20 calendar appointment so you can accept those -- or deny. 21 DR. COOK: That sounds great. Thank you. We 22 will adjourn. 23 (END OF MEETING.) 24 25</p> <p style="text-align: right;">Page 111</p>
<p>1 MR. LARSON: That's a good point. 2 DR. COOK: Makes perfect sense. 3 MR. CHRISTENSON: So that's -- the change is 4 just definitive hospital? 5 MS. WOLFE: Instead of the first presenting 6 hospital. 7 DR. COOK: It's the ultimate -- 8 DR. TAILLAC: Okay. 9 DR. COOK: -- definitive ISS. 10 MS. WOLFE: We where know everything that 11 happens, everything the patient has. Because you're 12 wanting them to make a decision in 15 or 30 minutes and 13 get them out of there, they're not going to have all of 14 the answers -- 15 DR. COOK: True. 16 MS. WOLFE: -- of what -- they're not going to 17 have the ISS, the full ISS. 18 DR. COOK: So Deanna, that would be your 19 motion to change that to the definitive ISS? 20 MS. WOLFE: Yes, sir. And it's my last 21 motion. 22 DR. COOK: Okay. Second? 23 MS. BURKE: Second. 24 DR. COOK: Second from Holly. All in favor 25 aye. Any opposed? Okay. Done.</p> <p style="text-align: right;">Page 110</p>	<p style="text-align: center;">C E R T I F I C A T E</p> <p>STATE OF UTAH) : ss COUNTY OF SALT LAKE)</p> <p>I, Katie A. Harmon, a Registered Professional Reporter, Certified Court Reporter, and Notary Public in and for the State of Utah, do hereby certify: That the foregoing proceedings were taken on March 23, 2014;</p> <p>That the proceedings were reported by me in stenotype and thereafter transcribed by computer, and that a full, true, and correct transcription, to the best of my ability, of said proceedings so taken is set forth in the foregoing pages;</p> <p>That the Original transcript of the same was mailed to Suzanne Barton, Bureau of EMS and Preparedness, 3760 South Highland Drive, Salt Lake City, 84114.</p> <p>I further certify that I am not of kin or otherwise associated with any of the parties to said cause of action, and that I am not interested in the event thereof.</p> <p>WITNESS MY HAND and official seal at Salt Lake City, Utah, this 14th day of April, 2014.</p> <p style="text-align: right;">Katie Harmon, RPR, CSR</p> <p style="text-align: right;">Page 112</p>